

U.S. ENVIRONMENTAL PROTECTION AGENCY (EPA)  
SCIENCE ADVISORY COMMITTEE ON CHEMICALS (SACC)

VIRTUAL PUBLIC MEETING

DRAFT RISK EVALUATION FOR DI-ISODECYL  
PHTHALATE (DIDP) AND DRAFT HAZARD ASSESSMENTS  
FOR DI-ISONONYL PHTHALATE (DINP)

SACC WEBSITE:

<https://www.epa.gov/tsca-peer-review>

DOCKET NUMBER:

EPA-HQ-OPPT-2024-0073

ONLINE VIA ZOOM AND YOUTUBE

July 30-August 1, 2024

**ATTENDEES**

<b>SACC CHAIR</b>	
GEORGE P. COBB, PHD	BAYLOR UNIVERSITY
<b>DESIGNATED FEDERAL OFFICIAL</b>	
ALAA KAMEL, PHD	ENVIRONMENTAL PROTECTION AGENCY
<b>SACC MEMBERS</b>	
UDAYAN APTE, PHD	UNIVERSITY OF KANSAS
MARISSA BAKER, PHD	UNIVERSITY OF WASHINGTON
CHRISTINE CHAISSON, PHD	THE LIFELINE GROUP
STEPHANIE EICK, PHD	EMORY UNIVERSITY
ARTHUR T. FONG, PHD	APPLE INC.
ROBINAN GENTRY, PHD	RAMBOLL US CORPORATION
CYNTHIA GRAHAM, PHD	INDEPENDENT TOXICOLOGY CONSULTANT
WENDY HEIGER-BERNAYS, PHD	BOSTON UNIVERSITY
ALLISON JENKINS, MPH	TEXAS COMMISSION ON ENVIRONMENTAL QUALITY
LI LI, DSc	UNIVERSITY OF NEVADA
FRANCHESKA MERCED-NIEVES, PHD	ICAHN SCHOOL OF MEDICINE AT MOUNT SINAI
MARY OTTINGER, PHD	UNIVERSITY OF HOUSTON
JENNIFER PRZYBYLA, PHD	CENTERS FOR DISEASES CONTROL AND PREVENTION
DAVID REIF, PHD	NATIONAL INSTITUTE OF ENVIRONMENTAL HEALTH SCIENCES
<b>TSCA SACC AD HOC PEER REVIEWERS</b>	
RAYMOND M. DAVID, PHD	DAVID TOX, LLC
ELINOR FANNING, PHD	WASHINGTON STATE DEPARTMENT OF HEALTH
PENELOPE A. FENNER-CRISP, PHD	INDEPENDENT CONSULTANT
KEMBRA L. HOWDESHELL, PHD	NATIONAL INSTITUTE OF ENVIRONMENTAL HEALTH SCIENCES

JEANELLE M. MARTINEZ, PHD, DABT	B. BRAUN MEDICAL, INC.
MOLLY SHUMAN-GOODIER, PHD	WASHINGTON DEPARTMENT OF FISH AND WILDLIFE
DANIEL J. SPADE, PHD	BROWN UNIVERSITY
DOUGLAS C. WOLF, DVM, PHD	INDEPENDENT CONSULTANT
<b>PRESENTERS</b>	
ELISSA REAVES, PHD	EPA/OPPT/OCSPP
MICHAL FREEDHOFF, PHD	EPA/OCSPP
COLLIN BEACHUM	EPA/OPPT/OCSPP
ANTHONY LUZ	EPA/OPPT/OCSPP
MAIKO ARASHIRO	EPA/OPPT/OCSPP
YASHFIN MAHID	EPA/OPPT/OCSPP
LAURA KRNAVEK	EPA/OPPT/OCSPP
JENNIFER BRENNAN	EPA OPPT/OCSPP
CHRIS GREEN	EPA OPPT/OCSPP
<b>PUBLIC COMMENTERS</b>	
AMANDA BUERGER	TOXSTRATEGIES
PAUL DELEO	AMERICAN CHEMISTRY COUNCIL
JENNIFER FOREMAN	ACC HIGH PHTHALATES PANEL
SUZANNE HARTIGAN	AMERICAN CHEMISTRY COUNCIL
THOMAS HMIEL	TEKNOR APEX COMPANY
RASHMI JOGLEKAR	UNIVERSITY OF CALIFORNIA, SAN FRANCISCO
KELLY LESTER	EARTHJUSTICE
SILVIA MALBERTI	EXXONMOBIL BIOMEDICAL SCIENCES, INC.
HUA QIAN	EXXONMOBIL BIOMEDICAL SCIENCES, INC.

NIGEL SARGINSON	SARGINSON CONSULTING SRL
CHAD THOMPSON	TOXSTRATEGIES LLC
PAIGE VARNER	ENVIRONMENTAL DEFENSE FUND

## TABLE OF CONTENTS

OPENING OF MEETING DAY 1 .....	7
INTRODUCTION AND IDENTIFICATION OF PANEL MEMBERS .....	14
INTRODUCTION AND WELCOME .....	23
WELCOME AND INTRODUCTORY COMMENTS .....	26
EPA TECHNICAL PRESENTATION 1 .....	33
EPA TECHNICAL PRESENTATION 2 .....	53
QUESTIONS FROM THE SACC ON EPA PRESENTATIONS	91
EPA TECHNICAL PRESENTATION 3 .....	123
QUESTIONS FROM THE SACC ON EPA PRESENTATION	151
EPA TECHNICAL PRESENTATION 4 .....	158
QUESTIONS FROM THE SACC ON EPA PRESENTATION	190
PUBLIC ORAL COMMENTS .....	213
OPENING OF MEETING DAY 2 .....	293
PANEL MEMBERS: FOLLOW-UP ON PREVIOUS DAY ..	297
CHARGE QUESTIONS FOR DIDP RISK EVALUATION .	311
1. EXPOSURE ANALYSES .....	311
CHARGE QUESTION 1.a.i .....	312
CHARGE QUESTION 1.a.ii .....	344
CHARGE QUESTION 1.a.iii .....	362
CHARGE QUESTION 1.a.iv .....	369
CHARGE QUESTION 1.a.v .....	379
CHARGE QUESTION 1.b .....	389
CHARGE QUESTION 1.b.i .....	390
CHARGE QUESTION 1.b.ii .....	404
[LUNCH BREAK] .....	432
CHARGE QUESTION 1.b.iii .....	437

CHARGE QUESTION 1.b.iv .....	442
CHARGE QUESTION 1.c .....	450
CHARGE QUESTION 1.c.i .....	450
CHARGE QUESTION 1.c.ii .....	468
CHARGE QUESTION 1.e .....	480
CHARGE QUESTION 1.e.i .....	481
CHARGE QUESTION 1.e.ii .....	493
[BREAK] .....	498
CHARGE QUESTION 1.d .....	499
2. ECOLOGICAL HAZARD .....	507
CHARGE QUESTION 2.a .....	507
CHARGE QUESTION 2.b .....	522
3. HUMAN HEALTH HAZARD .....	529
CHARGE QUESTION 3.b .....	529
CHARGE QUESTION 3.a .....	551
OPENING OF MEETING DAY 3 .....	571
PANEL MEMBERS: FOLLOW-UP ON PREVIOUS DAY ..	575
CHARGE QUESTIONS FOR DIDP RISK EVALUATION .	596
2. ECOLOGICAL HAZARD .....	596
CHARGE QUESTION 2.b .....	596
DINP HAZARD ASSESSMENTS .....	647
1. ECOLOGICAL HAZARD .....	647
CHARGE QUESTION 1.a .....	647
2. HUMAN HEALTH HAZARD .....	657
CHARGE QUESTION 2.a .....	657
CHARGE QUESTION 2.b .....	675
CHARGE QUESTION 2.c .....	697
CHARGE QUESTION 2.d .....	716
CHARGE QUESTION 2.e .....	734
CLOSING REMARKS AND MEETING ADJOURN .....	747

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**OPENING OF MEETING DAY 1**

**DR. ALAA KAMEL:** Good morning. My name is Alaa Kamel, and I will be serving as the Designated Federal Official for the U.S. EPA Science Advisory Committee on Chemicals, SACC. For this meeting, and in my role, I will be opening this public meeting, and I want to thank Dr. George Cobb for serving as the chair of the Committee for this meeting.

I also want to thank the members of the Committee, ad hoc reviewers, and the public for attending this important meeting. We appreciate the time and effort of the Committee members in preparing for this meeting, considering their busy schedules.

In addition, I want to thank EPA's Office of Pollution Prevention and Toxics, OPPT, and my colleagues in the Peer Review and Ethics Branch, PREB, in EPA for their hard work in preparing for this important review of EPA's Draft Risk Evaluation for Di-isodecyl Phthalates (DIDP)

1 and the Draft Hazard Assessments for Di-isononyl  
2 Phthalates (DINP).

3 I would like to thank my colleagues  
4 Steve Knott of the Peer Review and Ethics Branch -  
5 - he's the manager -- and from the Mission Support  
6 Division of the Office of Program Support in  
7 EPA. And I would to thank Tamue Gibson, the  
8 executive secretary of the FIFRA Scientific  
9 Advisory Panel and the Science Advisory Committee  
10 on Chemicals. They are both online this week and  
11 will be serving as backups to my role as DFO.

12 Thanks are also due to Dr. Sharlene  
13 Matten and William Wooge, our Assistant Deputy  
14 Ethics officials, who are also DFOs and can serve  
15 also as backups.

16 I also thank Ms. Alie Muneer, the  
17 DFO in the Peer Review and Ethics Branch. I want  
18 to thank the administrative support team,  
19 including Ms. Joyce Coates, Ms. Barbara Ewell, and  
20 our contractor Kurd Ali from EnDyna.

21 The SACC is a federal advisory  
22 committee that provides independent scientific



1 peer review and advice to the EPA on chemical-  
2 related issues regarding the impact of proposed  
3 regulatory actions on human health and the  
4 environment. The SACC provides advice and  
5 recommendations to EPA. Decision-making and  
6 implementation authority remains with the Agency.

7 The SACC for this meeting is  
8 comprised of 15 members who are experts in  
9 toxicology, environmental risk assessment,  
10 exposure assessment, and related sciences.

11 The expertise of these members is  
12 augmented by eight additional ad hoc reviewers,  
13 who are special government employees participating  
14 in this SACC peer review providing additional  
15 scientific expertise, including terrestrial and  
16 wildlife ecological risk assessment,  
17 bioaccumulation of chemicals and their fate in the  
18 environment, exposure to consumer products, and  
19 indoor air. Also liver toxicity, cancer, and  
20 peroxisome proliferator-activated receptor alpha  
21 mode of action, PPAR $\alpha$ , and those response

1 assessment in order to assist in reviews conducted  
2 by the SACC committee.

3 As the DFO for this meeting, I serve  
4 as a liaison between the SACC and the Agency. I  
5 am also responsible for ensuring provisions of the  
6 Federal Advisory Committee Act, also known as  
7 FACA, are met.

8 The Federal Advisory Committee Act  
9 of 1972 established a system that governs the  
10 creation, operation, and termination of executive-  
11 branch advisory committees. SACC meetings are  
12 subject to all FACA requirements. These include  
13 opening meetings, timely public notice of  
14 meetings, and document availability to the  
15 public. All documents are available to the public  
16 in the docket at [www.regulations.gov](http://www.regulations.gov), listed in  
17 the meeting agenda.

18 As the designated federal official  
19 for this meeting, a critical responsibility is to  
20 work with appropriate agency officials to ensure  
21 that all appropriate ethics regulations are  
22 satisfied.

1           In that capacity, committee members  
2 receive training on provisions of the federal  
3 conflict of interest laws and ethics and  
4 scientific integrity training. In addition, each  
5 participant has filed a standard government  
6 financial disclosure report. Our deputy ethics  
7 official for the Office of Program Support and  
8 their team in consultation with the Office of  
9 General Counsel has reviewed these reports to  
10 ensure all ethic requirements are met.

11           The SACC, today and in the next  
12 coming days, will review challenging scientific  
13 issues over the next four days. We have a full  
14 agenda, so meeting times are approximate, thus we  
15 may not keep to exact times as noted in the agenda  
16 due to discussions and public comments. We strive  
17 to ensure adequate time for Agency presentations,  
18 public comments, and Committee deliberations.

19           For presenters, SACC members, and  
20 public commenters, please identify yourselves as  
21 you speak into your microphones since this meeting  
22 is being webcasted, transcribed, and recorded.

1 I'd like to note that we have a live video webcast  
2 for this meeting through YouTube. To access it,  
3 please go to the link in the meeting website  
4 listed in the agenda.

5           Copies of all EPA presentation  
6 materials, written public comments, and other  
7 documents related to this meeting are available in  
8 the public docket at regulations.gov. Copies of  
9 presentation materials submitted this week by  
10 public commenters will be available in the public  
11 docket within the next week.

12           For this meeting, there are so far  
13 58 registered attendees, 12 of which are  
14 registered to give oral comments. Registration to  
15 attend this meeting is open until the last day of  
16 the meeting.

17           Members of the Committee and ad hoc  
18 reviewers are encouraged to fully consider all  
19 written and oral public comments submitted for  
20 this meeting. We dedicated adequate time for the  
21 Agency presentations, public comments, and peer  
22 reviewers to discuss their questions. As we move

1 through the proceedings, if time allows, we may be  
2 able to move to the next agenda item if an item  
3 takes less than the anticipated time.

4 For members of the press, EPA media  
5 relations staff is available to answer your  
6 questions about this meeting. Please address all  
7 questions to Cathy Milbourn at email  
8 press@epa.gov. At the conclusion of this meeting,  
9 the SACC will prepare a report as a response to  
10 all questions posed by the Agency, background  
11 materials, presentations, and public comments.  
12 This final report also serves as the meeting  
13 minutes.

14 We anticipate the final report and  
15 meeting minutes to be completed in 60 days after  
16 the meeting is concluded. I wish to thank the  
17 Committee, and the ad hoc reviewers, and the  
18 public for your participation. I'm looking  
19 forward to both a challenging and interesting  
20 discussion over the next four days. I now turn  
21 the meeting over to our chair Dr. George Cobb.  
22 Thank you.

1

2           **INTRODUCTION AND IDENTIFICATION OF PANEL MEMBERS**

3

4                   **DR. GEORGE COBB:** Thank you, Dr.

5 Kamel. Welcome, everyone, both the public and our

6 Committee members and EPA staff. Alaa thanked all

7 of the people that I think I would thank, so I'm

8 not going to repeat that, other than to say we are

9 very appreciative of the work that he and his

10 colleagues at EPA have done to help us prepare for

11 this meeting. At this point, I'll simply call the

12 roll for the Committee members and let each one of

13 us introduce ourselves.

14                   I am George Cobb. I am the chair of

15 the Environmental Science Department at Baylor

16 University, and I'm a chemist and an exposure

17 assessment scientist by training.

18                   The next person on the list is Dr.

19 Apte.

20                   **DR. UDAYAN APTE:** Hi. My name is

21 Udayan Apte. I am a professor at the Department

22 of Pharmacology, Toxicology, and Therapeutics at

1 the University of Kansas Medical Center. I'm a  
2 board-certified toxicologist, and my expertise is  
3 in liver disease, liver toxicology, liver cancer.  
4 Thank you.

5 **DR. GEORGE COBB:** Thank you. Dr.  
6 Baker.

7 **DR. MARISSA BAKER:** Hi. I'm Marissa  
8 Baker. I'm an assistant professor at the  
9 University of Washington in Seattle. My  
10 background is in industrial hygiene, exposure  
11 assessment, and occupational groups.

12 **DR. GEORGE COBB:** Thank you. Dr.  
13 Chaisson.

14 **DR. CHRISTINE CHAISSON:** Hello. My  
15 name is Dr. Chris Chaisson, and my beginnings were  
16 in toxicology -- particularly chemistry -- but  
17 most of my career has been with exposure  
18 assessment, including modeling and data  
19 statistics. Thank you.

20 **DR. GEORGE COBB:** Thank you. Dr.  
21 Eick.

1                   **DR. STEPHANIE EICK:** Hi, everyone.

2                   My name is Stephanie Eick. I'm an assistant  
3                   professor at Emory University at the Rollins  
4                   School of Public Health. I'm an environmental  
5                   epidemiologist, and most of my work looks at  
6                   exposure to chemical mixtures and impacts on  
7                   health.

8                   **DR. GEORGE COBB:** Thank you. Dr.  
9                   Fong.

10                  **DR. ALAA KAMEL:** I think he would be  
11                  absent today.

12                  **DR. GEORGE COBB:** Dr. Gentry.

13                  **DR. ROBINAN GENTRY:** Hello,  
14                  everyone. I'm Robinan Gentry. I'm a principal  
15                  with Ramboll and a toxicologist by training. My  
16                  expertise is in the area of human health risk  
17                  assessment.

18                  **DR. GEORGE COBB:** Thank you. Dr.  
19                  Graham.

20                  **DR. CYNTHIA GRAHAM:** Good morning.  
21                  I'm Cynthia Graham. I'm a PhD toxicologist doing



1 independent consulting. My expertise is  
2 respiratory and dermal sensitization.

3 **DR. GEORGE COBB:** Thank you. Dr.  
4 Heiger-Bernays.

5 **DR. WENDY HEIGER-BERNAYS:** Good  
6 morning. My name is Wendy Heiger-Bernays. I'm a  
7 professor emeritus and research professor of  
8 environmental health. My background and expertise  
9 is in molecular toxicology and health risk  
10 assessment.

11 **DR. GEORGE COBB:** Thank you. Ms.  
12 Jenkins.

13 **MS. ALLISON JENKINS:** Good morning.  
14 I'm Allison Jenkins. I'm a senior toxicologist at  
15 the Texas Commission on Environmental Quality.  
16 I'm a risk assessor and human toxicologist there -  
17 - do a little bit of everything with air, water,  
18 waste. Thank you.

19 **DR. GEORGE COBB:** Thank you. Dr.  
20 Li.

21 **DR. LI LI:** Good morning. I'm Li  
22 Li, Assistant Professor of Environmental Health at

1 the University of Nevada, Reno. My expertise is  
2 in branch modeling with a focus on environmental  
3 chemistry and human exposure signs about chemical  
4 substances. Thank you.

5 **DR. GEORGE COBB:** Thank you. Dr.  
6 Merced-Nieves.

7 **DR. FRANCESKA MERCED-NIEVES:**  
8 Morning. My name is Francheska Merced-Nieves.  
9 I'm an assistant professor at Icahn School of  
10 Medicine at Mount Sinai. My expertise are  
11 neurotoxicology and mixtures and cumulative  
12 impacts on brain development.

13 **DR. GEORGE COBB:** Thank you. Dr.  
14 Ottinger.

15 **DR. MARY OTTINGER:** Good morning.  
16 I'm Mary Ann Ottinger. I'm a professor emeritus  
17 at the University of Houston. My expertise is in  
18 endocrinology and ecotoxicology with work in  
19 neurobiology and comparative biology of aging.

20 **DR. GEORGE COBB:** Thank you. Dr.  
21 Przybyla.

1                   **DR. JENNIFER PRZYBYLA:** Good  
2 morning. I'm Jennifer Przybyla. I am an  
3 environmental health scientist with the Agency for  
4 Toxic Substance and Disease Registry. My  
5 expertise is in human health risk assessment.  
6 Thank you.

7                   **DR. GEORGE COBB:** Thank you. Dr.  
8 Reif.

9                   **DR. DAVID REIF:** Hi. I am David  
10 Reif. I'm chief of the Predictive Toxicology  
11 Branch at the National Institute of Environmental  
12 Health Sciences. As formerly a professor of  
13 bioinformatics at NC State University, my  
14 expertise is in computational biology informatics  
15 and artificial intelligence.

16                   **DR. GEORGE COBB:** Thank you. Dr.  
17 Sahmel Elliott (phonetic).

18                   **DR. ALAA KAMEL:** I don't think she's  
19 participating.

20                   **DR. GEORGE COBB:** Mr. Wright also is  
21 not participating. Dr. David.

1                   **DR. RAYMOND DAVID:** Hi. I'm Raymond  
2 David. I'm a retired toxicologist -- retired from  
3 industry. I have my own consulting business, and  
4 I have been a long-time student of phthalate  
5 esters since the early nineties.

6                   **DR. GEORGE COBB:** Thank you. Dr.  
7 Fanning.

8                   **DR. ELINOR FANNING:** Good morning.  
9 My name is Elinor Fanning. I'm a public health  
10 toxicologist with the Washington State Department  
11 of Health. I have training in molecular biology,  
12 and my current work is mostly concerning toxics in  
13 consumer products. Thank you.

14                   **DR. GEORGE COBB:** Thank you. Dr.  
15 Fenner-Crisp.

16                   **DR. PENELOPE FENNER-CRISP:** Good  
17 morning. I'm Penny Fenner-Crisp. I'm an  
18 independent consultant living in Charlottesville,  
19 Virginia, formerly an EPA employee -- spent 22  
20 years in the drinking water, toxics, and pesticide  
21 program. My area of expertise is all things

1 toxicology, human health risk assessment, and  
2 regulatory science policy and guidance.

3 **DR. GEORGE COBB:** Thank you. Dr.  
4 Howdeshell.

5 **DR. KEMBRA HOWDESHELL:** Kembra  
6 Howdeshell. I'm with the National Institute of  
7 Environmental Health Science, where I am a health  
8 scientist in the division of translational  
9 toxicology. My expertise is reproductive and  
10 developmental toxicology and mixtures and  
11 systematic review.

12 **DR. GEORGE COBB:** Thank you. Dr.  
13 Martinez.

14 **DR. JEANELLE MARTINEZ:** Hi. Good  
15 morning. My name is Jeanelle Martinez. I'm a  
16 board-certified toxicologist, working as a  
17 principal scientist at B. Braun in the  
18 pharmaceutical chemistry research division. I  
19 have over 25 years of experience with state,  
20 local, and national agencies doing human health  
21 risk assessments. Currently, I do human health

1 risk assessments for pharmaceutical containers and  
2 medical devices.

3 **DR. GEORGE COBB:** Thank you. Dr.  
4 Shuman-Goodier.

5 **DR. MOLLY SHUMAN-GOODIER:** Good  
6 morning, everyone. I'm Molly Shuman-Goodier. I'm  
7 a research scientist with the Washington  
8 Department of Fish and Wildlife. My expertise is  
9 in ecotoxicology and environmental endocrinology.  
10 I'm serving as an ad hoc reviewer, and I've spent  
11 time working at EPA with the hard-working folks in  
12 OPPT as an eco-assessor. Thank you.

13 **DR. GEORGE COBB:** Thank you. Dr.  
14 Spade.

15 **DR. DANIEL SPADE:** Good morning.  
16 I'm Daniel Spade. I'm an assistant professor in  
17 the department of pathology and laboratory  
18 medicine at Brown University. I am a  
19 toxicologist, and my area of expertise is male  
20 reproductive toxicologies.

21 **DR. GEORGE COBB:** Thank you. Dr.  
22 Wolf.

1                   **DR. DOUGLAS WOLF:** Douglas Wolf,  
2                   veterinary pathologist by training. I retired  
3                   from both U.S. EPA and Syngenta Crop Protection,  
4                   independent consultant with expertise in  
5                   toxicology, toxicologic pathology, and  
6                   carcinogenesis.

7                   **DR. GEORGE COBB:** Thank you. Is  
8                   there anyone whose name I did not call? Please  
9                   raise your hand, if there is. I think we got  
10                  through everyone. So, now we can move on to our  
11                  introductions of the other folks from EPA.

12                  At this point, I turn the floor over  
13                  to Dr. Elissa Reeves, who is the director of OPPT  
14                  within the Office of Chemical Safety and Pollution  
15                  Prevention. Dr. Reeves.

16

17                                   **INTRODUCTION AND WELCOME**

18

19                   **DR. ELISSA REAVES:** Great. Thank  
20                   you, Dr. Cobb. Good morning, everyone. I want to  
21                   welcome our new members of the SACC, and, of  
22                   course, welcome back those of you who have been

1 with us for a while now. We are pleased to have  
2 you back with us this week to review our first two  
3 phthalate risk evaluations.

4 My name's Elissa Reeves. I'm the  
5 director of the Office of Pollution Prevention and  
6 Toxics. I've been in this position since late  
7 last year. I came over from the Office of  
8 Pesticide Programs, where I had over 20 years'  
9 experience in toxicology, risk assessment, and  
10 risk management.

11 This morning, I have the pleasure  
12 today to welcome Dr. Michal Freedhoff, the  
13 assistant administrator for EPA's Office of  
14 Chemical Safety and Pollution Prevention, to give  
15 some opening remarks. Michal began her tenure as  
16 the AA in June of 2021. Prior to that, she joined  
17 the EPA as the principal deputy assistant  
18 administrator for OCSPP in January 2021. Dr.  
19 Freedhoff has more than 20 years of government  
20 experience, most recently as the minority director  
21 of oversight for the Senate Environment and Public  
22 Works Committee.



1 She began her congressional service  
2 in 1996 in then Congressman Ed Markey's office as  
3 a congressional science and engineering fellow  
4 after receiving a PhD in physical chemistry at the  
5 University of Rochester. Dr. Freedhoff has also  
6 served on the staffs of the House Science  
7 Committee, the House Select Committee on Energy  
8 Independence and Global Warming, the House Energy  
9 and Commerce Committee, and the House Natural  
10 Resources Committee.

11 With environmental expertise  
12 spanning a range of policy areas, her legislative  
13 work includes the 2016 reauthorization of TSCA --  
14 the Toxic Substances Control Act -- 2019  
15 legislation to address PFAS contamination, the  
16 fuel economy provisions in the 2007 Energy  
17 Independence and Security Act, and a law requiring  
18 the creation of an online database of potential  
19 consumer products' safety defects.

20 It's my pleasure this morning to  
21 welcome Dr. Freedhoff to give our opening remarks.  
22 Dr. Freedhoff.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

**WELCOME AND INTRODUCTORY COMMENTS**

**DR. MICHAL FREEDHOFF:** Thanks so much. Hello, everybody. I'm happy I could be here today to help kick off the meeting. I'd like to start by welcoming our new Committee members. We've had four new members join the SACC -- Dr. Arthur Fong, Dr. Robinan Gentry, Dr. Li Li, and Dr. Jennifer Sahmel. We also have some folks who've signed up for another go-around with our merry band -- Dr. Udayan Apte, Dr. Marissa Baker, Dr. Christine Chaisson, Dr. Wendy Heiger-Bernays, Dr. Jennifer Przybyla, and Dr. David Reif.

I want to give you all a very warm welcome to the Committee. The SACC has done invaluable work for us for many years, and we're excited to have you on board and look forward to your insights and contributions.

We've also had to say goodbye to two of our SACC members -- Dr. Carmen Messerlian and

1 Dr. Charles Vorhees. Our thanks to them as well  
2 for all of their work.

3 Thank you all for being virtually  
4 here. I also just want to say that I am sorry we  
5 can't meet in person. Unfortunately, we continue  
6 to be underfunded, and so we've had to move to  
7 virtual SACC meetings. Since TSCA was amended in  
8 2016, it's been a continual struggle to get the  
9 resources we need to do the critical work that  
10 needs to be done, and we didn't even come close to  
11 getting what we need from Congress this past year.

12 We told Congress we'd need an  
13 increase of about \$48 million over our FY 2023  
14 funding levels to meet many of the statutory  
15 deadlines in TSCA, and instead, our toxics budget  
16 was cut by \$5 million. This is a serious blow.  
17 It endangers our ability to do what TSCA tells us  
18 we have to do to protect human health and the  
19 environment in the time frames the law requires.

20 So, one of the ways we're making up  
21 our budget shortfall is by making our FY '24 peer  
22 review meetings virtual rather than offering an

1 in-person option. Just as an example, by having a  
2 fully virtual four-day SACC meeting for  
3 formaldehyde, we saved about \$100,000. I know  
4 some of you have noted that there can be benefits  
5 to having meetings in person, and we completely  
6 agree and hope that our resources' picture changes  
7 sometime soon.

8 The thing is, whether you're online  
9 or in person, some of the most significant changes  
10 we've made during this administration have been,  
11 in part, a response to feedback from the SACC.  
12 And the solutions to many problems that you noted  
13 over and over again in your reviews of the first  
14 ten risk evaluations, were incorporated into our  
15 final risk evaluation framework rule, which  
16 frankly has SACC written all over it.

17 For example, when the SACC reviewed  
18 the risk evaluation for 1,4-dioxane -- done under  
19 the previous administration -- you had some strong  
20 critiques and noted some glaring holes. So, first  
21 the risk evaluation didn't look at potential  
22 exposures through the water we drink or the air we

1 breathe, which has clear implications for the  
2 general population and especially for fenceline  
3 communities. And the risk evaluation also assumed  
4 that everyone who worked with the chemical always  
5 properly wore personal protective equipment. The  
6 SACC questioned those decisions.

7 Our final risk evaluation rule says  
8 that EPA can't exclude exposure pathways or  
9 conditions of use from the scope of a risk  
10 evaluation. And it also says we have to  
11 accurately consider risks to workers.

12 We've also incorporated a  
13 consideration of risk to fenceline communities.  
14 The SACC reviewed our fenceline community risk  
15 screening approach in March of 2022 and provided  
16 valuable feedback, which we've started to  
17 incorporate into our subsequent risk evaluations.

18 Another improvement we've made  
19 thanks to feedback from the SACC is in systematic  
20 review. We updated our systematic review protocol  
21 in 2021, following recommendations from both your

1 reviews of specific risk evaluations and the  
2 National Academy's.

3 In 2022, you reviewed our revised  
4 protocol and provided additional recommendations,  
5 which we've also started to incorporate. We've  
6 also gotten helpful feedback on specific  
7 chemicals, like NMP, which we just proposed a rule  
8 to address. During that review, you identified  
9 issues with our PPPK exposure modeling and our  
10 consideration of aggregate exposures, which we've  
11 revised using your advice.

12 Today, we come to you again to ask  
13 for your expertise. We've provided some specific  
14 charge questions to guide in the next few days,  
15 and we look forward to hearing what you have to  
16 say. You'll be considering DIDP and DINP, two  
17 phthalates used to make plastic that's found in  
18 everything from flooring to furniture to  
19 children's toys.

20 Just two weeks ago, the Biden-Harris  
21 Administration released the first comprehensive  
22 government-wide strategy to target plastic

1 pollution. Plastic production and waste doubled  
2 over the past two decades, littering our ocean,  
3 poisoning the air of communities near production  
4 facilities, and threatening public health. This  
5 new strategy specifically mentions using TSCA to  
6 review chemicals used in plastic production.

7 The Administration also announced  
8 that we aim to phase out federal procurement of  
9 single-use plastics from food service operations,  
10 events, and packaging by 2027, and from all  
11 federal operations by 2035. Since people use all  
12 kinds of different plastics every single day, and  
13 since exposure to many phthalates -- including  
14 DINP -- cause the same health effect, we're also  
15 looking at cumulative risk. The SACC reviewed our  
16 draft approach for cumulative risk assessment last  
17 year.

18 As you know, we've given you the  
19 full DIDP risk evaluation to review but only the  
20 hazard assessment for DINP. This is another way  
21 we're making our risk evaluation process more  
22 efficient and sustainable for the program. We're

1 hoping to focus your efforts on work that is truly  
2 novel and for which we really need your review.  
3 And in this case, the exposures for DIDP and DINP  
4 are very similar, but the hazards are different.  
5 So, we're asking you to look at both chemicals'  
6 hazards but to review our approach to exposure to  
7 both chemicals based just on what we've provided  
8 in the DIDP evaluation.

9 I know you've got a lot to talk  
10 about, so I'll end here. We really look forward  
11 to your valuable input. And it really does make a  
12 difference and improve the way we do things.  
13 Thank you, again, for all the work that you do.

14 **DR. GEORGE COBB:** Thank you very  
15 much. It's very good to hear from you, and I  
16 appreciate you taking the time to come speak with  
17 the Committee today. I will say that, just as a  
18 chair -- and I've been ad hoc and then a full  
19 member for while -- we did take notice of the  
20 efforts that EPA took to incorporate the SACC's  
21 comments into the risk assessment process. And



1 I've tried to highlight that in at least our  
2 executive summaries when we are reporting out.

3 So, I do appreciate that and duly  
4 note that the EPA is making strides to take into  
5 account the types of recommendations that we're  
6 making. So, thank you very much.

7 **DR. MICHAL FREEDHOFF:** Thank you.

8 **DR. GEORGE COBB:** I think at this  
9 point we move to our presenters from EPA. We'll  
10 be hearing from -- our first technical  
11 presentation will be from Dr. Beachum, Dr. Luz,  
12 from the Existing Chemicals Risk Assessment  
13 Division. And they're going to be talking to us  
14 about the overview of the Draft Hazard Assessment.

15

16 **EPA TECHNICAL PRESENTATION 1**

17

18 **DR. COLLIN BEACHUM:** Thank you, Dr.  
19 Cobb. I appreciate it. Collin Beachum, here,  
20 U.S. EPA. Thanks for the introduction. I also  
21 wanted to thank the other members of the SACC as  
22 well for their participation and the feedback that

1 we'll receive shortly. And I also want to thank  
2 the public commenters that we'll be hearing from  
3 later today as well.

4 Today, as you've heard, we'll be  
5 talking about DIDP's Draft Risk Evaluation and the  
6 Draft Hazard Assessment for DINP.

7 Next slide, please. First, I want  
8 to say thank you. It takes a small army of people  
9 to get us to this point in the process, including  
10 the authors and contributors to the Risk  
11 Evaluation and the Technical Support Documents,  
12 our technical support staff contractors -- who  
13 make much of this work possible -- and then also  
14 technical experts from within other offices within  
15 the EPA.

16 Next slide, please. The outline for  
17 today will be first for the SACC presentations --  
18 the technical presentations from the SACC. We  
19 have those broken into four parts. Part 1 is the  
20 introduction to TSCA and an introduction to DIDP  
21 and DINP. Part 2 will focus on the DIDP in human  
22 health hazard and exposure. Part 3 will be the

1 DINP human health hazard. And then Part 4 will be  
2 DIDP environmental hazard and exposure and the  
3 DINP environmental hazard.

4 Our charge questions are directed at  
5 our two different chemicals. For DIDP, our charge  
6 questions will focus on the exposure analysis, the  
7 ecological hazard, and the human health hazard.  
8 For DINP, our charge questions will be focused on  
9 the ecological hazard and the human health hazard.

10 Next slide, please. So, in order to  
11 connect the dots here, we've given you a road map.  
12 On the left hand of this table, you'll see Parts  
13 1, 2, 3, and 4 that correspond to the various  
14 presentations. In the middle column here are our  
15 presentation sections by title. And on the far  
16 right, you'll see the charge questions that are  
17 directed at these various parts.

18 Next slide, please. Now then, we'll  
19 start with the Introduction to TSCA.

20 Next slide, please. To set some  
21 regulatory context here, TSCA Section 6(b)  
22 requires the EPA to conduct risk evaluations to

1 determine whether a chemical substance presents an  
2 unreasonable risk of injury to human health or the  
3 environment. In order to do that, we're not  
4 supposed to consider the cost or other non-risk  
5 factors, including an unreasonable risk  
6 determination to potentially exposed or  
7 susceptible subpopulations identified by the EPA  
8 as relevant to the risk evaluation under the  
9 conditions of use.

10 TSCA section 6(b)(4)(C) directs the  
11 EPA to establish the "form and manner" and  
12 "criteria" that govern manufacturer requests that  
13 EPA conduct a risk evaluation on a substance that  
14 they manufacture. So, this is the portion that  
15 directly corresponds to DINP and DIDP as  
16 manufacturer-requested risk evaluations.

17 The EPA has broad discretion to  
18 establish these criteria, but relatively less  
19 discretion over whether or not to grant those  
20 requests that comply with the EPA's criteria. So,  
21 in other words, EPA must grant any request if it  
22 determines that it complies with EPA's criteria,

1 until the statutory minimum of 25 percent has been  
2 met. What that portion means is that the EPA must  
3 grant MREs that meet the criteria if they are 25  
4 percent or less of the total number of risk  
5 evaluations under consideration.

6 Continuing that line, assuming EPA  
7 receives requests in excess of that 25 percent  
8 threshold, the EPA interprets this provision to  
9 grant EPA discretion to determine whether to grant  
10 further requests, up to a maximum of half of the  
11 total risk evaluations under consideration.

12 In such circumstances, EPA has the  
13 discretion to give preference to the manufacturer  
14 requests for which EPA determines that  
15 restrictions imposed by one or more states have  
16 the potential to significantly impact interstate  
17 commerce or health or the environment. In other  
18 words, we must grant MREs that meet the criteria  
19 up to the 25 percent threshold, and then between  
20 the 25 and 50 percent -- up to 50 percent -- so  
21 half of the total risk evaluations under  
22 consideration.

1                   Next slide, please. U.S. EPA  
2                   Requirements under TSCA. What are they? We have  
3                   to evaluate the existing chemicals with clear and  
4                   enforceable deadlines. In this case, we have  
5                   three to three-and-a-half years to complete risk  
6                   evaluations after the final scopes are published.  
7                   So, we must use the best available science using  
8                   reasonably available information and make  
9                   decisions based on the weight of scientific  
10                  evidence. We'll talk more about those later.

11                  We have to develop a risk-based  
12                  chemical assessment without consideration of costs  
13                  or other non-factors, and we must consider risks  
14                  to potentially exposed or susceptible  
15                  subpopulations determined to be relevant to the  
16                  risk evaluation. And, finally, we have to address  
17                  those unreasonable risks identified in the risk  
18                  evaluation.

19                  Next slide, please. TSCA Risk  
20                  Evaluations. The risk evaluation considers the  
21                  exposure and hazards to determine whether a  
22                  chemical substance presents an unreasonable risk

1 to human health or the environment under the  
2 conditions of use. The risk evaluation is our  
3 primary science support document that the Agency  
4 uses if it is necessary to issue regulations to  
5 address unreasonable risk.

6 And then, finally, to the extent  
7 that the Administrator makes a decision based on  
8 science, the Administrator shall use scientific  
9 information, technical procedures, measures,  
10 methods, protocols, methodologies, or models,  
11 employed in a manner consistent with the best  
12 available science. That's our Section 26(h)  
13 portion of TSCA.

14 Next slide, please. This is what I  
15 was referencing previously. I want to make sure  
16 that we get some scientific terms properly  
17 understood here as we go through the evaluation of  
18 our work.

19 Specifically, the EPA will document  
20 the risk evaluation is consistent with the best  
21 available science and based on the weight of  
22 scientific evidence. So, in determining best

1 available science, EPA has to consider the extent  
2 to which scientific information, technical  
3 procedures, measures, methods, protocols,  
4 methodologies, or models employed to generate our  
5 information are reasonable for and consistent with  
6 the intended use of the information -- hence some  
7 of the charge questions that you see.

8 We also need to consider the extent  
9 to which the information is relevant for the  
10 Administrator's use in making decisions about the  
11 chemical substance or mixtures.

12 We also need to consider the degree  
13 of clarity and completeness with which data,  
14 assumptions, methods, quality assurance, and  
15 analyses employed to generate the information are  
16 documented; the extent to which the variability  
17 and uncertainty of the information -- or in the  
18 procedures, measures, methods, protocols,  
19 methodologies, or models -- are evaluated and  
20 characterized. That's why we have such focus on  
21 methodologies in our charge questions.



1                   Then, finally, the extent of the  
2 independent verification or peer review of the  
3 information or of these procedures, measures,  
4 methods, protocols, methodologies, or models --  
5 hence the reason why we're asking for this  
6 guidance.

7                   Next question, please. Weight of  
8 Scientific Evidence -- what is this? In order to  
9 meet the law's requirement to base decisions in  
10 TSCA, risk evaluations must be made on the weight  
11 of scientific evidence. EPA relies on established  
12 Agency guidance documents, which provide  
13 consistency and formality to the process that  
14 looks to integrate multiple and often heterogenic  
15 lines of evidence.

16                   The Weight of Scientific Evidence  
17 assessment is based on the strengths, limitations,  
18 and the interpretation of data available, the  
19 information across multiple lines of evidence and  
20 how these different lines of evidence may or may  
21 not fit together when drawing conclusions.

1                   The Weight of Scientific Evidence  
2           assessment also examines multiple lines of  
3           evidence from scientifically relevant published or  
4           publicly available studies in peer reviewed  
5           scientific journals, studies conducted in  
6           accordance with OECD or EPA guidelines, gray  
7           literature, and/or any other studies, scientific  
8           information, or lines of evidence that are of  
9           sufficient quality, relevance, and reliability,  
10          are evaluated across studies and endpoints into an  
11          overall assessment.

12                   So, EPA has provided a summary of  
13          the Weight of Scientific Evidence narrative or  
14          characterization to accompany a detailed analysis  
15          to transparently describe the conclusions, as well  
16          as explain the selection of studies or effects  
17          used in main lines of evidence and a relevant  
18          basis for those conclusions.

19                   Next slide, please. TSCA Risk  
20          Assessment Considerations. We look at sources of  
21          potential exposure, the pathways by which those  
22          exposures may occur, the media in which those

1 exposures could take place, the receptors or the  
2 people and organisms that may be exposed, and then  
3 the routes by which people and environment ends.  
4 And animals and plants may also be exposed.

5 Next slide, please. The risk  
6 evaluation process is an incremental and iterative  
7 process. So, the methods that we're developing  
8 here may be used in the future as appropriate for  
9 different chemicals. And that's one of the  
10 reasons why we spend so much time asking questions  
11 about methodologies. It's so we can improve our  
12 strategies and assessments, but keeping in mind  
13 that we're time-bound and that the risk  
14 evaluations must be completed within three years  
15 of the publication of the scopes.

16 So, the risk evaluations ultimately  
17 land on a determination of risk, whether that be  
18 unreasonable, no unreasonable risk, or an  
19 unreasonable risk for a chemical. If the EPA  
20 determines that there is unreasonable risk for a  
21 chemical, even if one COU, we move to risk  
22 management. And the risk management phase is

1 where we impose restrictions in order to eliminate  
2 that unreasonable risk.

3 Next slide, please. So, in order to  
4 ensure the science quality and transparency, we go  
5 through several rounds of various internal and  
6 external reviews. Some of our internal review  
7 processes include peer review with our technical  
8 teams -- right here within the existing Chemical  
9 Risks Assessment Division -- and then continuing  
10 on to our senior scientists and management  
11 reviews.

12 And then we also work  
13 collaboratively with other offices within the EPA  
14 and sometimes even external to the EPA. This  
15 evaluation we've collaborated specifically with  
16 the Office of Research and Development, Office of  
17 Water, and Office of Air and Radiation, among  
18 others, seeking early guidance on methodologies  
19 and feedback.

20 And, of course, our external review  
21 process includes a public comment period -- which  
22 we've recently ended for these two -- and then, of

1 course, continuing on into various peer review  
2 possibilities, such as SACC, or in the case of  
3 other existing chemical risk evaluations, even  
4 consulting with the HSRB, NASEM, and others as  
5 well.

6 We also rely extensively on journal  
7 publications and then contract reviews and letter  
8 peer reviews, as you've seen in other evaluations,  
9 and then, of course, stakeholder engagement from  
10 various entities, whether it be the manufacturers  
11 that request the risk evaluations in this case, or  
12 our other stakeholders as well, from our NGOs  
13 groups.

14 Next slide, please. So what will we  
15 do with this feedback, and why are we seeking it?  
16 We're asking for the feedback to help us decide  
17 when and how the EPA uses different approaches to  
18 estimate potential exposures to the chemicals,  
19 define the conditions when specific approaches can  
20 be or should be applied to risk evaluations, and  
21 also assist the Agency in quickly identifying  
22 readily available data best suited for use in risk

1 evaluations, and also -- as you see with some of  
2 the future-oriented charge questions that we're  
3 asking here -- future steps in the method  
4 development and utilization in ongoing and future  
5 risk evaluations.

6 Next slide, please. With that I  
7 will turn it over to our very talented technical  
8 team in order to go through other portions of our  
9 presentations. Thank you.

10 **DR. GEORGE COBB:** Thank you for  
11 that. The next presenters will be -- I'm not sure  
12 which person is going to -- is Dr. Beachum going  
13 to be presenting? Or who's next?

14 **DR. ANTHONY LUZ:** Good morning, Dr.  
15 Cobb. This is Anthony Luz with EPA. I'll be  
16 presenting the next portion of this morning's  
17 presentation.

18 **DR. GEORGE COBB:** So this is the  
19 second part -- the Presentation 2?

20 **DR. ANTHONY LUZ:** No, this is still  
21 Part 1. We're now just switching gears to going

1 over some background information, specifically  
2 relevant to DIDP and DINP.

3 **DR. GEORGE COBB:** Apologies. I had  
4 a different name on my schedule. Please continue.

5 **DR. ANTHONY LUZ:** Thank you, Dr.  
6 Cobb. Good morning, everyone. Again, my name is  
7 Anthony Luz, and I'm a biologist in EPA's Existing  
8 Chemicals Risk Assessment Division. I'll be  
9 covering DIDP and DINP background information  
10 during the next part of the presentation.

11 Next slide, please. TSCA Section 6  
12 allows manufacturers of chemical substances and/or  
13 categories of chemical substances to request an  
14 EPA-conducted risk evaluation on a chemical  
15 substance and/or category of chemical substances  
16 on the conditions of use of interest to the  
17 manufacturers requesting the evaluation.

18 On May 24, 2019, under the old TSCA  
19 risk evaluation rule, EPA received a request from  
20 ExxonMobil Chemical Company, Evonik Corporation,  
21 and Teknor Apex through ACC's High Phthalates  
22 Panel to conduct a risk evaluation for DINP, while

1 similar requests for risk evaluation for DIDP was  
2 also received from ExxonMobil Chemical Company,  
3 again, through ACC's High Phthalates Panel.

4 EPA reviewed the manufacturer  
5 request for DIDP and DINP and approved the request  
6 in December 2019 and then subsequently issued  
7 draft and final scope documents for the DIDP and  
8 DINP risk evaluations in 2020 and 2021. Following  
9 publication of final scopes, the next step in the  
10 risk evaluation process is to issue draft risk  
11 evaluations for peer review and public comments,  
12 which is why we're all here today.

13 Next slide, please. Both DIDP and  
14 DINP have a number of uses regulated under TSCA.  
15 One of the primary uses of both phthalates is  
16 their use as a plasticizer to make flexible  
17 polyvinyl chloride. However, both phthalates are  
18 also used to make building construction materials,  
19 automotive care and fuel products, and other  
20 commercial and consumer products, including  
21 adhesives and sealants, paints and coatings,  
22 electrical and electronic products -- all of which



1 are considered TSCA uses. The DIDP risk  
2 evaluation is focused on evaluation of exposure to  
3 these TSCA uses.

4 However, it is also important to  
5 note that there are a number of uses that are not  
6 subject to regulation under TSCA, including use in  
7 food packaging materials, medical devices,  
8 pharmaceuticals, and other personal care products,  
9 just to provide a few examples. These uses that  
10 can result in human exposure were not accounted  
11 for in the draft DIDP exposure assessments or  
12 draft DIDP risk evaluation.

13 Next slide, please. The DIDP and  
14 DINP risk evaluations are organized through a  
15 series of discipline-specific Technical Support  
16 Documents that summarize the technical analyses  
17 that in turn feed into the draft risk evaluations.  
18 This slide provides an overview of the draft DIDP  
19 risk evaluation package submitted to the docket  
20 for peer review.

21 First, you'll note the draft  
22 Technical Support Documents, summarizing the

1 physical, chemical, and fate property of DIDP.

2 These support documents feed into EPA's

3 environmental and human exposure assessments.

4 There are three Technical Support Documents

5 summarizing human exposure, including an

6 Environmental Media and General Population

7 Exposure Assessment, Environmental Release and

8 Occupational Exposure Assessment, and a Consumer

9 and Indoor Dust Exposure Assessment.

10                   These three human exposure

11 assessments, in conjunction with the DIDP Human

12 Health Hazard Assessment, feed into the human

13 health risk characterization for DIDP. Similarly,

14 the DIDP Environmental Hazard Assessment, the

15 Environmental Exposure Assessment, both feed into

16 the environmental risk characterization in the

17 DIDP Risk Evaluation.

18                   Next slide, please. This slide

19 summarizes the document map for the Draft DINP

20 Risk Evaluation. Please note that the Draft DINP

21 Risk Evaluation will be organized in a similar

22 manor to the Draft DIDP Risk Evaluation. However,

1 for DINP, we have only released five draft  
2 Technical Support Documents on the docket as part  
3 of the current peer review.

4 These Technical Support Documents  
5 are highlighted with green boxes on the slide,  
6 including EPA's Draft Non-cancer Human Health  
7 Hazard Assessment, Cancer Human Health Hazard  
8 Assessment, Physical Chemistry and Fate  
9 Assessments, and Environmental Hazard Assessment.

10 Next slide, please. So, why have we  
11 only released several of the DINP Technical  
12 Support Documents as part of the current peer  
13 review when we need the same exposure  
14 methodologies, tools, and models used in the Draft  
15 DIDP Risk Evaluation? They're also being used in  
16 the DINP exposure assessments on Draft Risk  
17 Evaluation. Feedback received from the SACC as  
18 part of the current DIDP charge will be applicable  
19 to the DINP exposure assessments and risk  
20 evaluation, which EPA is actively working on.

21 Also, as you'll hear more about  
22 shortly, there are several key differences in

1 human health hazard assessments between DIDP and  
2 DINP, particularly on the cancer side. And  
3 feedback from SACC on EPA's proposed human health  
4 hazard approaches for DINP will inform the  
5 exposure assessment and risk evaluation for DINP.

6 Next slide, please. In addition to  
7 the manufacturer-requested risk evaluations for  
8 DIDP and DINP, we are also actively working on  
9 risk evaluations for five additional phthalates  
10 that are prioritized under TSCA by EPA for risk  
11 evaluation. This includes DEHP, DBP, BBP, DIBP,  
12 and DCHP. We anticipate that feedback received  
13 from SACC as part of the current charge will  
14 inform the ongoing risk evaluations for these five  
15 additional phthalates.

16 We are also actively working on a  
17 cumulative risk assessment of six phthalates,  
18 including DINP, DEHP, DBP, BBP, DIBP, and DCHP.  
19 And that assessment's being conducted in line with  
20 the Proposed Approach for Cumulative Risk  
21 Assessment of Phthalates. It was peer reviewed by  
22 the SACC during the May meeting of the SACC in

1 2023. Again, feedback from the SACC, in  
2 particular on the exposure methodologies and  
3 approaches being employed for DIDP, will be  
4 informative of EPA's cumulative phthalate  
5 assessment.

6 Next slide, please. We've reached  
7 the end of the Part 1 presentation, which covered  
8 background information on TSCA as well as on DIDP  
9 and DINP. We'll now be transitioning to the  
10 second part of this morning's presentation.

11  
12 **EPA TECHNICAL PRESENTATION 2**

13  
14 Next slide, please. For the second  
15 part of today's presentation, we will be providing  
16 an overview of the DIDP Human Health Hazard  
17 Assessment and Exposure Assessment.

18 Next slide, please. For this  
19 portion of the presentation, we are going to start  
20 with an overview of DIDP non-cancer and cancer  
21 human health hazards, followed by an overview of  
22 the DIDP Environmental Release Assessment, the

1 DIDP Environmental Media Concentration and General  
2 Population Exposure Assessment, and finally, the  
3 DIDP Consumer and Indoor Dust Exposure Assessment.  
4 This presentation will be focusing on the  
5 background information relevant to the DIDP  
6 charge.

7 Next slide, please. Let's just jump  
8 right in to the DIDP Human Health Hazard  
9 Assessment overview.

10 Next slide, please. This slide  
11 presents a copy of the DIDP Risk Evaluation  
12 Document Map. For this portion of the  
13 presentation, again, we will be going over the  
14 non-cancer and cancer human health hazards of DIDP  
15 pertaining to DIDP Charge Questions 3a and 3b.  
16 And the Draft DIDP Human Health Hazard  
17 Assessment's Technical Support Documents, or TSD,  
18 is the primary document that provides additional  
19 information pertaining to this portion of the  
20 charge.

21 Also, throughout today's series of  
22 presentations from EPA, I'd like to note that

1 charge question numbers will be indicated in the  
2 top right corners of each slide that provides  
3 relevant background information to each specific  
4 question.

5 Next slide, please. DIDP is a well-  
6 studied phthalate with a robust database of oral  
7 exposure studies, including studies conducted in  
8 multiple species and includes multiple short-term  
9 oral exposure studies, subchronic dietary studies  
10 and chronic dietary studies.

11 Additionally, DIDP has been  
12 evaluated in two prenatal developmental toxicity  
13 studies, one each of Sprague Dawley and Wistar  
14 rats and two two-generation studies of  
15 reproduction, both conducted with Sprague Dawley  
16 rats. On that, here are two relevant EPA  
17 guidelines.

18 However, there are no dermal or  
19 inhalation studies of DIDP relevant for dose  
20 response or deriving non-cancer toxicity values.  
21 Therefore, we are proposing to use the oral non-  
22 cancer points of departure to assess risk for the

1 dermal and inhalation routes with route-to-route  
2 extrapolation.

3 Next slide, please. Across  
4 available oral studies of DIDP, liver and  
5 developmental toxicity were identified as the most  
6 sensitive non-cancer hazards, which is consistent  
7 with the conclusions of other regulatory and  
8 authoritative agencies, including U.S. CPSC, NTP,  
9 Health Canada, ECHA, as well as some others. And  
10 these hazard outcomes are the focus of EPA's dose  
11 response assessment.

12 Observed developmental effects  
13 include increases in the incidence of skeletal and  
14 visceral variations in two prenatal studies of  
15 rats at doses below those that caused maternal  
16 toxicity. Additionally, in the evaluable two-  
17 generation studies of reproduction, effects from  
18 life births, offspring survival, and offspring  
19 body weight were observed, again, at dose that's  
20 not cause overt parental toxicity.



1 I'll be talking a bit more about the  
2 observed developmental effects in a couple of  
3 slides.

4 What's also important to note here  
5 that unlike other phthalate diesters such as DEHP  
6 and -- as I'll talk about a bit more this  
7 afternoon -- DINP, gestational exposure to DIDP  
8 does not induce effects on the developing male  
9 reproductive system consistent with the disruption  
10 of the androgen action. This conclusion was  
11 endorsed by SACC during its May 2023 peer review  
12 meeting.

13 Next slide, please. Overall, no  
14 sensitive and robust non-cancer point of  
15 departure, or POD, that EPA identified was a NOAEL  
16 of 38.0 mg/kg-day. This NOAEL is based on reduced  
17 F2 offspring survival on postnatal days one and  
18 four, which is an effect that is observed  
19 consistently across both two-generation studies of  
20 reproduction, both of which adhered to relevant  
21 EPA guidelines.

1                   Next, we converted the non-cancer  
2                   NOAEL of 38.0 mg/kg-day to a human-equivalent  
3                   dose, or HED, of 9.0 mg/kg-day, using allometric  
4                   body weight scaling to the 3/4 power. As I'll  
5                   talk about further in a couple of slides, we're  
6                   proposing to use this non-cancer POD of 9.0 mg/kg-  
7                   day to characterize risk from acute, intermediate,  
8                   and chronic durations of exposure to DIDP.

9                   Also, for the benchmark margin of  
10                  exposure, or benchmark MOE, a total uncertainty  
11                  factor of 30 was selected based on use of an  
12                  intraspecies uncertainty factor, or  $UF_H=10$  and a  
13                  interspecies uncertainty factor, or  $UF_A=3$ , which  
14                  is consistent with EPA guidelines, was reduced  
15                  from a value of 10 to 3 because 3/4 body weight  
16                  scaling was used to derive the HED.

17                  Next slide, please. Overall, we  
18                  have robust competence in the selected POD, and  
19                  several lines of scientific evidence support the  
20                  selected POD. First, it's important to note that  
21                  developmental toxicity is observed consistently in

1 two prenatal developmental toxicity studies and  
2 both two-generation studies of reproduction.

3 So, again, in the two prenatal  
4 studies observed developmental effects included  
5 increases in the incidence of skeletal and  
6 visceral variations at doses that did not cause  
7 maternal toxicity or affect fetal body weight. In  
8 the first two-generation study, DIDP exposure  
9 reduced F1 offspring survival on postnatal day 4,  
10 reduced F1 and F2 offspring body weight on  
11 postnatal day 0, and reduced F1 and F2 offspring  
12 body weight gain through postnatal day 21.

13 Although the effects on F1 offspring  
14 survival and offspring body weight and weight gain  
15 were not observed in the second two-generation  
16 study, the second study tested lower doses below  
17 those that caused an effect in the first study.

18 So, this is actually not inconsistent, but notably  
19 in both two-generation studies, F2 offspring  
20 survival on postnatal days one and four was  
21 reduced dose dependently on the doses that did not  
22 cause overt parental toxicity to either parental

1 generation, supporting the selected NOAEL of 38.0  
2 mg/kg-day.

3 Further supporting the selected non-  
4 cancer POD, it is outlined in Section 6.1.1  
5 through 6.1.3 of the Non-Cancer DIDP Technical  
6 Support Document.

7 We also derived five additional  
8 candidate PODs, ranging from 9.3 to 13.0 mg/kg-day  
9 from other acute, intermediate, and chronic  
10 duration studies based on liver and other  
11 developmental effects observed in several species.  
12 These are, of course, very similar to our selected  
13 POD, and it further supports EPA's selected non-  
14 cancer POD of 9.0 mg/kg-day.

15 Notably, across evaluable studies  
16 considered for driving the non-cancer PODs, only  
17 one study provided a potentially more sensitive  
18 candidate POD, an HED of 5.2 mg/kg-day, based on  
19 LOAEL from a two-year dietary study of rats.

20 Effects observed at the LOAEL  
21 included a slight and statistically significant  
22 increase in incidence of spongiosis hepatitis and

1 microgranuloma in the liver of male rats.  
2 However, several uncertainties reduced our  
3 confidence in the findings of the study for use in  
4 risk assessment.

5 For example, the LOAEL is based on a  
6 relatively slight increase in incidence of  
7 spongiosis hepatitis and microgranuloma. The  
8 incidence in microgranuloma was flat across tested  
9 doses, while the incidence of spongiosis hepatitis  
10 was also relatively flat, particularly at the low-  
11 and mid-dose groups.

12 Further reducing our confidence in  
13 the findings of the study, spongiosis hepatitis and  
14 microgranuloma have only been observed in a single  
15 study of DIDP and only in male rats at that.  
16 There's uncertainty related to the mode of action.

17 Next slide, please. As I mentioned  
18 earlier, we are proposing to use the non-cancer  
19 POD, 9.0 mg/kg-day, to characterize risk for  
20 acute, intermediate, and chronic exposure  
21 durations. We considered the POD based on  
22 decreased F2 offspring survival relevant for all

1 durations because it's unclear as to whether  
2 decreased pup survival was due to a single acute  
3 exposure during gestation or from repeated  
4 exposures during gestation and/or the postnatal  
5 period.

6 Because both scenarios are plausible  
7 and because repeated dose studies were used to  
8 investigate the hazard, and the mode of action for  
9 DIDP is uncertain, and other studies did not  
10 provide a more sensitive or robust endpoint, we  
11 considered the non-cancer POD relevant for all  
12 exposure durations, including acute, intermediate,  
13 and chronic.

14 Next slide, please. Now, we will be  
15 transitioning to an overview of cancer human  
16 health hazards for DIDP. DIDP has been evaluated  
17 for carcinogenicity in two chronic studies,  
18 including a 2-year dietary study of F344 rats and  
19 a 26-week dietary study of wild-type and rasH2  
20 mice, which is a transgenic strain of mice  
21 overexpressing the human ras oncogene, making it  
22 more susceptible to carcinogenesis.

1                   In the two-year study of fissure  
2 rats, increased incidents of mononuclear cell  
3 leukemia, or MNCL, was observed in high-dose rats  
4 of both sexes. MNCL is a spontaneously occurring  
5 neoplasm of the hematopoietic system that reduces  
6 lifespan, and it's one of the most common tumor  
7 types occurring at high background rates on F344  
8 rats.

9                   So, given the high and variable  
10 background rate of MNCL in F344 rats, it's  
11 important to consider historical control data,  
12 concurrent control data in time of the onset of  
13 MNCL to assist in determining whether observed  
14 increases in MNCL are tumor related or not.

15                   So, though survival was  
16 significantly reduced in high-dose male and female  
17 rats, study authors do not report the cause of  
18 unscheduled deaths, and it's unclear if MNCL was  
19 the cause or not. Further, no information on time  
20 to onset of MNCL or laboratory historical control  
21 data as provided by study on thirds on lack of  
22 this, make it challenging to determine if the

1 increase in MNCL was due to DIDP. It is a source  
2 of uncertainty. There is additional uncertainty  
3 also related to the human correlate to MNCL and  
4 F344 rats.

5 Some researchers have suggested that  
6 MNCL shares some characteristics in common with an  
7 aggressive natural killer cell leukemia in humans  
8 and that this may be a human correlate. However,  
9 in contrast to MNCL, aggressive natural killer  
10 cell leukemia in humans is extremely rare and has  
11 a viral **theology**.

12 Finally, in addition to MNCL,  
13 hepatocellular adenomas have also been observed in  
14 high-dose male rash2 transgenic mice at 1500  
15 mg/kg-day. So, the dose is well above the limit  
16 dose. However, no significant increase in liver  
17 tumors was observed in female rash2 mice or wild-  
18 type mice of either sex.

19 Next slide, please. Under the  
20 Guidelines of Carcinogen Risk Assessment, we have  
21 preliminarily concluded that there is suggested  
22 evidence of carcinogenic potential of DIDP in



1 rodents. This preliminary classification is based  
2 on the increase incidence of MNCL in male and  
3 female F344 rats in hepatocellular adenomas and  
4 male transgenic razH2 mice.

5 Also consistent with the cancer  
6 guidelines, we did not conduct a cancer dose  
7 response or conduct a quantitative cancer risk  
8 assessment. Notably, this approach is consistent  
9 with other agencies, including U.S. CPSC, Health  
10 Canada, NICNAS, and ECHA, all of whom also refrain  
11 from conducting a cancer dose response assessment  
12 or quantitative cancer risk assessment on their  
13 assessments of DIDP.

14 Next slide, please. Just to recap  
15 quickly, we are proposing to use a non-cancer POD  
16 of 9.0 mg/kg-day based on reduced F2 offspring  
17 survival to characterize non-cancer risk for  
18 acute, intermediate, and chronic exposure  
19 durations. We've also preliminarily concluded  
20 that there is suggestive evidence of carcinogenic  
21 potential of DIDP in rodents, based on increased  
22 incidence of MNCL in male and female F344 rats and

1 hepatocellular adenomas in male transgenic razH2  
2 mice. And we did not conduct a cancer dose  
3 response assessment or quantify DIDP for cancer  
4 risk.

5 Notably, again, many of our  
6 conclusions and approaches are consistent with  
7 other regulatory bodies that have also identified  
8 a non-cancer liver and developmental toxicity as  
9 sensitive hazards associated with exposure to DIDP  
10 and also did not evaluate DIDP quantitatively for  
11 cancer risk.

12 With that, I'd like to thank you all  
13 for your time and attention. I'm now going to  
14 turn things over to my colleague, Yashfin Mahid.

15 Next slide, please.

16 **DR. YASHFIN MAHID:** How are you  
17 doing? I'm Yashfin Mahid, a chemical engineer  
18 here in OPPT, ECRAD, and along with my colleague  
19 Aaron Murray (phonetic), we are responsible for  
20 conducting the Environmental Release and  
21 Occupational Exposure Assessment for DIDP.

1                   Next slide, please. Here in this  
2 figure, we can see all the parts of the risk  
3 evaluation for DIDP. The contents related to  
4 Charge Question 1.e can be found in Section 3 of  
5 the Draft Environmental Release and Occupational  
6 Exposure Assessment for DIDP.

7                   Next slide, please. We have used  
8 occupational exposure scenarios, or OES, to  
9 conduct both the exposure assessment and  
10 environmental release assessment, but the for the  
11 purposes of this charge question, I would only  
12 talk about the use of OES, or occupational  
13 exposure scenarios, used to conduct environmental  
14 release assessment.

15                  Other condition of uses in the risk  
16 evaluation were mapped to applicable OES based on  
17 similar release scenarios expected for the  
18 condition of uses. Each OES was developed based  
19 on a set of conditions such that similar  
20 environmental releases are expected from the use  
21 or condition of use covered under the OES.

1 We evaluated environmental releases  
2 to the air, water, and land for 15 out of the 17  
3 OESs assessed in the risk evaluation. We did not  
4 quantitatively assess environmental release for  
5 the other two OESs due to the lack of available  
6 process-specific and DIDP-specific data. All the  
7 OESs for DIDP are shown at the bottom of this  
8 slide.

9 We also did not identify any release  
10 data from available literature sources and used  
11 modeling approaches to assess release estimates.  
12 We used both fixed input parameters and ranges and  
13 the focus of the Charge Question i.e, which is  
14 Production Volume, was one of the input parameters  
15 that we used for our modeling.

16 Next slide, please. So, here on  
17 this slide, we can see a pie chart, which shows  
18 the breakdown of releases among OES for DIDP, and  
19 we have used the central-tendency values from the  
20 output release distribution, which has a 50th  
21 percentile to develop this pie chart. And we can

1 see that manufacturing represents the highest  
2 release, followed by import and repackaging.

3 If we use the kind values from the  
4 output release distribution, which is the 95th  
5 percentile, we can see a similar trend with  
6 manufacturing and import and repackaging counted  
7 for majority of releases.

8 All the 15 OES for which we  
9 calculated releases are shown below the pie chart.

10 Next slide, please. To calculate  
11 production volumes for the different OESs, we used  
12 different methods. For example, for manufacturing  
13 and import/repackaging, we used information from  
14 the 2020 CDR directly. For sites which had CBI  
15 claims, production volume was estimated by  
16 subtracting the known production volumes from the  
17 overall national DIDP production volume range in  
18 the 2020 CDR and then dividing by the number of  
19 sites which claimed CBI.

20 For other OESs, the production  
21 volume ranges were estimated using data from both  
22 CDR and systematic review. For example, the

1 production volume use percentages from the 2003 EU  
2 Risk Assessment Report on DIDP was used to  
3 calculate production volume for most of the other  
4 OESs, and we assume that the end uses of DIDP in  
5 the U.S. is similar to that in Europe.

6 For example, in the EU Risk  
7 Assessment Report, it is estimated that about  
8 95.75 percent of DIDP is used in PVC end uses.  
9 Therefore, the production volume for PVC plastics  
10 compounding and PVC plastics converting was  
11 estimated to be 95.75 percent of the total  
12 national production volume from CDR for DIDP.

13 Next slide, please. So, to  
14 conclude, EPA is using information from the CDR  
15 database in combination with sources from  
16 literature review -- for example, the EU Risk  
17 Assessment Report -- to estimate production volume  
18 for most conditions of use for DIDP. We know that  
19 the annual production volume from CDR is quite  
20 broad, and, therefore, it introduces uncertainty  
21 in the estimation of the true production volumes.  
22 Also, ideally, we would have favor to use industry

1 use percentages from the U.S. rather than using  
2 industry use percentages from Europe.

3 For more information, you can see  
4 the Draft Environmental Release and Occupational  
5 Exposure Assessment for DIDP.

6 Next, I will pass it to Maiko  
7 Arashiro, who is an exposure assessor in our  
8 branch. Thank you.

9 **DR. MAIKO ARASHIRO:** Thank you,  
10 Mahid. Hi. My name is Maiko Arashiro, U.S. EPA,  
11 and I will be presenting an overview of the DIDP  
12 Environmental Media and General Population  
13 Exposure Assessment.

14 Next slide, please. The slides I'll  
15 be covering pertain to Charge Question 1.b. The  
16 relevant Technical Support Document for this  
17 charge is the Environmental Media and General  
18 Population Exposure Assessment Technical Support  
19 Document.

20 Next slide, please. To begin, here  
21 is a recap of the TSCA Risk Assessment  
22 Considerations as it pertains particularly to

1 exposure, getting from source of exposure through  
2 route of exposure. Here, we're showing a general  
3 overview relevant for consumers, workers, and the  
4 general population. But for the general  
5 population exposure, we're focused on the  
6 industrial and commercial releases as the source,  
7 which our engineer Mahid just did a wonderful job  
8 covering.

9 In the following slides, I will  
10 detail how we considered those releases and its  
11 impact on environmental media concentration and  
12 subsequent exposure to the general population.

13 Next slide, please. When  
14 considering general population exposure, we not  
15 only look at the environmental releases but also  
16 the fate and physical chemical properties of DIDP  
17 to predict where it may end up in our environment.  
18 We detail our fate and physical chemical  
19 properties in our Fate Technical Support Document.  
20 But here, to the left, I highlight a key finding  
21 of our Fugacity Modeling Results, which show the



1 environmental compartments in which DIDP will  
2 likely partition to base on the type of release.

3 Given our known releases and this  
4 partitioning analysis, we know that DIDP is  
5 predominantly expected to be in water, soil, and  
6 sediment given equal releases to the environment,  
7 which is why Charge Question 1.b focuses on those  
8 compartments. When released to air, DIDP will  
9 show strong affinity for absorption to particulate  
10 matter and is not likely to exist in the gaseous  
11 phase.

12 Next slide, please. With the  
13 understanding of where DIDP is likely to be  
14 present in the environment, based on what I've  
15 presented so far, EPA assessed various exposure  
16 pathways. For the pathways shown on this slide,  
17 EPA quantified exposure to the general population  
18 for ambient air and fish ingestion. More  
19 specifically, for ambient air, we quantified  
20 ingestion and dermal exposure to DIDP in soil  
21 resulting from air to soil deposition in a  
22 location specifically near the emission site due

1 to DIDP's rapid degradation in the atmosphere and  
2 not expecting long-range transport.

3 We did not quantify inhalation to  
4 gaseous phase DIDP due to not expecting DIDP in  
5 gaseous state due to the partitioning analysis.  
6 Based on the potential for DIDP to be in fish  
7 tissue through uptake from water and sediment and  
8 finding monitoring studies measuring DIDP in fish  
9 tissue concentration, EPA assessed fish ingestion,  
10 specifically for tribal populations to have a  
11 higher consumption of fish. All methodologies to  
12 assess these exposures have been utilized in prior  
13 risk evaluations and reviewed by the SACC.

14 For biosolids and landfills, we did  
15 not quantify any exposure because of the limited  
16 persistence potential and mobility of DIDP in  
17 soils.

18 Next slide, please. A continuation  
19 of the previous slide, for surface water, EPA  
20 assessed dermal and incidental ingestion of DIDP  
21 during swimming in potentially contaminated  
22 waters. Additionally, we looked at ingestion of

1 drinking water. These assessments were based on  
2 the potential for DIDP to be in water from TSCA  
3 releases and monitoring studies, albeit limited,  
4 that have detected DIDP in water.

5 Next slide, please. With an  
6 assessment of general population exposure, we took  
7 a screening level approach. We took this approach  
8 because we had little location- or scenario-  
9 specific information for DIDP exposure to the  
10 general population. In a screening-level  
11 approach, we rely on conservative assumption to  
12 estimate exposures likely to be on the high end of  
13 exposure distribution.

14 We assessed high-end exposures by  
15 utilizing high-end inputs, which included using  
16 the highest media concentrations modeled from our  
17 industrial and commercial releases and considering  
18 the highest potentially exposed population, which  
19 is based on the highest intake by lifestage -- in  
20 other words, highest intake by body weight based  
21 off of exposure factors -- and also consideration  
22 of lifestyle, such as tribal populations, who

1 consume a greater amount of fish. In using our  
2 high-end exposure estimates, we did not see risk.  
3 We did not proceed to refine our assessments.

4 Next slide, please. To give a quick  
5 overview of what yielded a high-end exposure  
6 scenario, here is this table to show that for each  
7 exposure pathway, different occupational exposure  
8 scenarios -- shown in the first column -- yielded  
9 high-end environmental concentrations for  
10 different media types. So, here, PVC plastic  
11 compounding yielded the highest soil  
12 concentrations from air to soil deposition,  
13 whereas releases from use of lubricants and  
14 functional fluids led to the greatest surface  
15 water concentrations.

16 You can also see here that based on  
17 different exposure factors, the most exposed  
18 lifestage -- shown in that third column -- varied  
19 across pathways. Surface water, here, is a good  
20 example to show that in assuming scenario an adult  
21 has greater skin surface area for greater dermal  
22 exposure, but youth have a higher ingestion rate

1 per body weight for greater incidental ingestion  
2 exposure. Exposure factors used for analysis are  
3 presented in the Environmental Media and General  
4 Population Technical Support Document.

5 Next slide, please. As mentioned in  
6 our Charge Question 1.b, we really want to bring  
7 focus to our surface water assessment. In the  
8 screening approach, using our generic scenarios to  
9 assess surface water, we evaluated high-end  
10 releases from conditions of use with greatest  
11 release to surface water, pairing that with low  
12 flow assumptions. Again, to mention here that for  
13 the generic scenario, we did not have location-  
14 based information for our releases.

15 We modeled using Point Source  
16 Calculator to include partitioning to sediment.  
17 We applied standard receiving waterbody geometry  
18 and characteristics; derived flow from  
19 distributions of receiving waterbodies from  
20 facilities with relevant NAICS codes. I'll speak  
21 on the low flow we utilized in the following  
22 slide.

1                   We presented multiple treatment  
2 scenarios, which included with and without  
3 wastewater treatment and with and without drinking  
4 water treatment. The resulting model  
5 concentrations from screening analysis were much  
6 higher than the expected concentrations from  
7 actual releases, and if no risk was identified at  
8 the screening level, once again, no refinements  
9 were conducted.

10                   Next slide, please. Now, we'll look  
11 more closely into how we analyzed the flow rate  
12 utilized for our screening level analysis for our  
13 generic scenarios. Again, not having individual  
14 releasing facility data, we followed established  
15 methods from previous risk evaluations, utilizing  
16 generic distributions of flows we derived from  
17 NAICS codes.

18                   So, here on the right, we show the  
19 distribution of our combined plant effluent and  
20 receiving waterbody modeled flow. We assumed mean  
21 facility effluent rate from NAICS codes to be  
22 included in the combined flow. So, you can see

1 here that it's very skewed, with greater numbers  
2 of facilities with lower combined flows to the  
3 left, but a few that have very large flows sort of  
4 on that right-hand tail.

5 So, for our conservative  
6 assumptions, we utilized the median flow, which we  
7 show here with the dotted line, which you can  
8 actually see ends up being our low-flow scenario.  
9 So, in short, we combined a high release with a  
10 low flow to yield a high surface water  
11 concentration used for our screening level  
12 analysis.

13 In the case that we needed to refine  
14 our assessment for our screening level analysis,  
15 we would have moved to a higher percentile flow as  
16 our next refinement step, essentially moving to a  
17 higher flow to be paired with our high releases to  
18 be more representative of realistic scenarios  
19 under the assumption that high releases would not  
20 be discharged to receiving waterbodies with such  
21 low flows -- again, with the reminder that we had  
22 no facility-specific data and also very limited

1 water-monitoring data. This is where we seek  
2 input on refinement as asked in Charge Question  
3 1.b.

4 Next slide, please. In conclusion,  
5 EPA used a screening level approach to assess  
6 general population exposure by using high-end  
7 environmental media concentration and considering  
8 populations with higher intake. For estimating  
9 surface water concentrations, EPA relied on a low  
10 flow value derived from a generic distribution of  
11 flow values paired with a high-end release  
12 loading.

13 In line with EPA's findings, prior  
14 assessments have not identified exposure scenarios  
15 that were assessed to the general population as  
16 contributing highly to exposure. Finally, EPA did  
17 not refine its assessment if there was no risk but  
18 is still seeking input in Charge Question 1.b for  
19 refinement considerations, particularly in regards  
20 to flow assumptions.

21 For more information on how  
22 Environmental Media and the General Population



1 Exposure Assessment was conducted, please see the  
2 Technical Support Document that's linked here.

3 Thank you.

4 Now, I'll move over and hand it over  
5 to my colleague Laura Krnavek.

6 **DR. LAURA KRNAVEK:** Good morning.

7 My name is Laura Krnavek. I'm the exposure  
8 assessor leading the Consumer and Indoor Dust  
9 Exposure Assessment.

10 Next slide, please. In this slide,  
11 we have the charge question relating to the  
12 Consumer and Indoor Dust Assessment and the  
13 reference documents in the active link.

14 In the picture below, you have the  
15 Consumer and Indoor Dust Exposure Assessment and  
16 the documents for your review, specifically the  
17 Draft Risk Evaluation and the Technical Support  
18 Document, TSD.

19 Next slide, please. The consumer  
20 and indoor dust exposure analysis main  
21 considerations are in this slide, starting with  
22 the lifestages and the designated labels and the

1 age groups. You also can see their users and  
2 bystanders, where the bystanders are people that  
3 are not in direct use or application of the  
4 product but are exposed via inhalation by  
5 proximity to the use of the product.

6 We also can see our use intensity --  
7 high, medium, and low exposure scenarios -- where  
8 this captures a range of use pattern options  
9 regarding durations of use, frequencies of use,  
10 mouthing behaviors, numbers of items, surface  
11 area, a number of other parameters that we used.

12 We also assessed three exposure  
13 durations, specifically acute, intermediate, and  
14 chronic, where acute covers the exposures in 1  
15 day; intermediate covers exposures in a 30-day  
16 period, approximately a month; and chronic covers  
17 the exposures in 1 year.

18 Next slide, please. In this slide,  
19 we have the main steps in performing the consumer  
20 exposure assessment in the left side of the table.  
21 And on the right side of the table, we have the

1 approach that we used to accomplish each one of  
2 these steps.

3 We start with identifying and  
4 mapping the products and articles to each COU and  
5 identifying evidence that supports the inclusion  
6 of the chemical in these products. Once we have  
7 that information, we compile information on how  
8 the products are used using online searches and  
9 manufacturer product use instructions.

10 Once we have this information, then  
11 we can identify targeted populations, and we also  
12 can identify the exposure routes that are  
13 applicable to these uses. Once we have that  
14 information, we also identify data gaps -- for  
15 example, for dermal exposures or mouthing  
16 exposures -- and we can also make, then,  
17 approaches in how we are going to fill in these  
18 data gaps. This is the most pivotal part of the  
19 steps that is related to the charge questions that  
20 you're addressing today.

21 Once we do the selection, we can  
22 parameterize the model. In this case, we selected

1 the CEM model -- consumer exposure model. And  
2 then we run the model, and we obtain the results.

3 Next slide, please. The indoor dust  
4 assessment was done using consumer exposure  
5 modeling data results for articles that are  
6 commonly found in indoor environments with large  
7 surface areas to collect the dust. Specifically,  
8 in this assessment and future assessments, we'll  
9 use surface areas larger than one square meter.

10 In the documents, we have a  
11 comparison of the modeling and monitoring consumer  
12 indoor dust assessments for your review. The  
13 important part here is, too, that the articles,  
14 the data, the assumptions, how we use the data,  
15 are important for us to obtain comments and review  
16 from you. In the next few slides, we'll go into  
17 more details on these assumptions.

18 Next slide, please. The Assumptions  
19 for Suspended and Surface Dust Inhalation and  
20 Ingestion. The key parameters that control the  
21 emission rates for the articles in the CEM model  
22 are weight fractions, density, article surface

1 layer thickness, article surface area, and any  
2 increases in these parameters will result in  
3 increases in emissions and, therefore, greater  
4 exposure. So, it's important for us to understand  
5 these assumptions and the data that we're using.

6 For example, in weight fractions, we  
7 prefer using data from SDSs and completed  
8 assessments, and lastly, from the CDR. That is  
9 because the CDR, for consumers specifically, they  
10 don't report the concentrations on finished  
11 products. So, there is considerable uncertainties  
12 when using CDR information.

13 For density, we use the material  
14 density for PVC -- the standard value for PVC --  
15 rather than making assumptions and estimates for  
16 each article.

17 The article surface layer thickness  
18 -- we use the CEM value, 0.01 centimeters, for  
19 scenarios with emissions from the same or similar  
20 solid material. This is in agreement with what we  
21 did with density.

1           The article surface area -- we make  
2 different assumptions for each article. And the  
3 next few slides will cover those.

4           Next slide, please. The Assumptions  
5 for Suspended and Surface Dust Surface Area.  
6 These are the assumptions done on the surface  
7 area. Specifically, we're going to start with  
8 flooring materials -- vinyl, specifically. We  
9 assumed that the material was used in 100, 50, and  
10 25 percent of the total floor space. We used the  
11 CEM default value for the whole house volume and  
12 assumed ceiling heights of eight feet, which is  
13 also a CEM default value.

14           For surface areas of wallpapers, we  
15 found a medium value from the Exposure Factors  
16 Handbook, and then we scaled to 200 and 50 square  
17 meters for the high and low exposure scenarios,  
18 based on professional judgment.

19           The surface area for textiles and  
20 foam furniture components were assumed to be the  
21 same across these articles. For each scenario, we  
22 assumed the presence of a couch and a loveseat in

1 the indoor environment. And for the low, medium,  
2 high exposure scenarios, we obtained standard  
3 sizes available from an online search on furniture  
4 retail stores on the descriptions on the  
5 dimensions of these articles.

6 Next slide, please. For children  
7 toys and wire insulation, we model them as  
8 collectives, as one item would have been too small  
9 and not go over our threshold of one square meter,  
10 that was from before, and also because consumers  
11 tend to have multiple of these items in the indoor  
12 environment.

13 For wire insulation, we use the  
14 typical circumference of copper wire insulation  
15 cords and a typical length cord of two meters --  
16 that part is a professional judgment. We  
17 estimated the number of items in an indoor  
18 environment for 1-, 2-, and 6-person household,  
19 and then we calculated the surface area from these  
20 various estimates and assumptions.

21 For children toys, we had a similar  
22 approach. For the low-, medium-, and high-

1 intensity views, we estimated the number of items  
2 and the dimensions of the items. For the low-  
3 intensity views, we estimated five small toys with  
4 the dimensions of 15 by 10 by 5 centimeters. For  
5 medium, we estimated 20 toys, measuring 20 by 15  
6 by 8 centimeters. And for the high-intensity  
7 views, we estimated 30 large toys, measuring 30 by  
8 25 by 15.

9 For shower curtains, it was a little  
10 more straightforward. There's less variability  
11 for these items. We performed an online search of  
12 various manufacturers and retails. We found the  
13 dimensions were fairly stable, so we calculated  
14 the surface area, and we calculated it for the two  
15 sides that are both available to collect dust.

16 Next slide, please. The next  
17 assumption goes specifically to the exposure route  
18 of ingestion via mouthing, in which specifically  
19 we searched seeking input with what we did to  
20 select the chemical migration rate. Quickly, to  
21 calculate exposure via mouthing, the key  
22 parameters in these calculations are chemical



1 migration rate, the surface area mouthed, and the  
2 duration of mouthing.

3 For the chemical migration,  
4 specifically, this parameter depends on several  
5 factors -- the physical chemical properties of the  
6 article polymer matrix, the phthalate  
7 concentration in the polymer, and the physical  
8 mechanisms during mouthing -- for example,  
9 sucking, chewing, biting, et cetera -- and the  
10 makeup of the saliva itself, as well. So, all of  
11 these factors had considerable variability to the  
12 chemical migration rate.

13 So, what we did is we identified a  
14 reference -- the Denmark Environmental Protection  
15 Agency (2016) review study. They gathered 87  
16 values from 4 studies they put together, and they  
17 split the information in a range of minimum,  
18 average, and maximum for various styles of  
19 mouthing, which they assigned the terms of mild,  
20 medium, and harsh.

21 Because there was no clear  
22 correlation between the weight fraction of DIDP

1 and the chemical migration rates, we decided to  
2 use the mean -- the average -- published by these  
3 studies in the idea that it would be  
4 representative and capture a range of chemical  
5 migration rates. And the ones in the square in  
6 the table are the ones used in the risk  
7 evaluation.

8           Next slide, please. In conclusion,  
9 EPA is seeking input regarding Charge Question  
10 1.a, dealing with data, the methods, and  
11 assumptions used in the consumer and indoor  
12 exposure assessments.

13           EPA identified CEM as an appropriate  
14 tool to perform the modeling for the multiple  
15 lifestages that we identified using fit-for-  
16 purpose input parameters or model defaults, which  
17 are covered, specifically, and as a summary: the  
18 use of weight fractions and the sources,  
19 preferably SDSs, and completed assessments and any  
20 other sources where they had actually performed  
21 product testing, and ultimately, the CDR; the use  
22 of the PVC density value rather than product

1 specific approximations; the use of the surface  
2 thickness default from CEM; the use of specific  
3 surface areas based on multiple assumptions,  
4 depending on the articles, and the sources of  
5 those assumptions came from manufacturer  
6 descriptions and Exposure Factors Handbook; and,  
7 ultimately, for the ingestion via mouthing, the  
8 use of the Denmark Environmental Protection Agency  
9 (2016) review study for the use of the chemical  
10 migration rate values.

11 All this information is well  
12 explained in the Technical Support Document, and  
13 the active link is in this slide.

14 Next slide, please. I thank you all  
15 for your attention. I think this opens the floor  
16 now for questions on this Part 2. I think Part 1,  
17 as well.

18 **DR. GEORGE COBB:** Thank you.

19  
20 **QUESTIONS FROM THE SACC ON EPA PRESENTATIONS**

21

1                   **DR. GEORGE COBB:** This is George  
2 Cobb speaking. We will turn this back over to the  
3 Committee members for questions. And I see Dr.  
4 Chaisson has a question.

5                   **DR. CHRISTINE CHAISSON:** Thank you.  
6 And thank you to the EPA presenters. That was  
7 excellent.

8                   I have basically two questions --  
9 the first one for the first presentation. It was  
10 mentioned in the presentation and also in the  
11 documents, more than once, that the review by the  
12 Agency was requested by a stakeholder, which  
13 brings up sort of a question in my mind, at least.

14                   I don't know anything about the  
15 procedure, by the way, so these may be elementary  
16 questions. But they brought up the idea of is  
17 there any difference between the government-  
18 initiated review versus a stakeholder-initiated  
19 review in terms of what are the expectations  
20 around the completeness of the information that  
21 you have to work with or the data that is  
22 provided, and is there any difference,

1 subsequently, on how data gaps are expected to be  
2 filled or important information that you unearth  
3 during the review is -- who gets to look for that  
4 information or provide it?

5 And on that, particularly, since we,  
6 as a Committee, were asked to take a look at the  
7 existing data and EPA's classification, if you  
8 will, on the quality completeness or confidence in  
9 that data, are there differences that the Agency  
10 or the SACC should consider depending upon whether  
11 or not the review was initiated by a stakeholder -  
12 - it doesn't have to be industry; it could be any  
13 stakeholder -- or EPA?

14 Basically, I'm asking whether the  
15 SACC should be more particular, if you will, about  
16 what level of certainty the Agency should be  
17 expecting to have when making the statement that  
18 the databases were complete enough to reach a  
19 decision. So, that's basically my first question.

20 I have a another completely  
21 different topic I want to ask a question about.

1 But, Dr. Cobb, should I just stop there and let  
2 the Agency answer that?

3 **DR. GEORGE COBB:** Yeah. I think  
4 letting the Agency respond is good.

5 **DR. ANTHONY LUZ:** Hi, Dr. Chaisson.  
6 Thanks for that question. I think the short  
7 answer to your question is the manufacturer-  
8 requested chemicals in those risk evaluations and  
9 the EPA-initiated risk evaluations -- the  
10 chemicals undergoing those evaluations, they have  
11 to be conducted in the same manner and be treated  
12 the same way. We have to use reasonably evaluable  
13 information. We have to make decisions in a  
14 transparent manner using the best available  
15 science and draw conclusions based on the weight  
16 of scientific evidence in both cases.

17 I did hear you mention data gaps, so  
18 maybe that is one slight difference. For the  
19 manufacturer-requested risk evaluations, we can't  
20 issue test orders, whereas for the EPA-initiated  
21 risk evaluations that is on the table, and it is  
22 something we can consider.

1 I'll stop there. I know we have a  
2 number of senior science advisors. I don't know  
3 if Dr. Eisenreich, (inaudible)--

4 **DR. CHRISTINE CHAISSON:** Wait. Can  
5 I make sure I understood you? So, if the Agency  
6 finds a data gap on an Agency-initiated review,  
7 you can request more data. Is that right? But if  
8 the industry requested the review, and you find  
9 that data gap, you can't issue a request for the  
10 data. Did I hear that correctly?

11 **DR. ANTHONY LUZ:** Sorry. Give us  
12 one second to respond.

13 Dr. Chaisson, this is Tony Luz,  
14 again, with EPA. You are right. So, issuing test  
15 orders is on the table for the EPA-initiated risk  
16 evaluations. It's not on the table for the  
17 manufacturer-requested. But before EPA accepts  
18 the request, we have to make a determination  
19 whether or not we think there's enough data to  
20 conduct the evaluation.

21 **DR. CHRISTINE CHAISSON:** Thank you  
22 for that. Dr. Hodge (phonetic) --

1                   **DR. KAREN EISENREICH:** I would like  
2 to add to this. This is Karen Eisenreich of the  
3 EPA. So, one of the things that we have to  
4 consider when putting out test orders when we can  
5 for our higher-priority substances is the timing.  
6 We have the three to three-and-a-half years to  
7 conduct a risk evaluation. So, when we identify  
8 data gaps -- if we identify data gaps within that  
9 three to three-and-a-half years that we're  
10 conducting the risk evaluation, it becomes very  
11 difficult to issue test orders that we can get the  
12 data back within that timing.

13                   So, we are moving to try and  
14 identify data gaps earlier in the process, so if  
15 we need to issue test orders, we can to fill those  
16 data gaps and get that data back in time to use in  
17 our risk evaluations.

18                   For the manufacturer-requested risk  
19 evaluations, it is correct that we review the  
20 information that the request submits to us and  
21 make sure that we have the information that we  
22 need to conduct the risk evaluation. So, once we



1 start the risk evaluation, we don't go back and  
2 require test orders for additional information.

3 **DR. GEORGE COBB:** Thank you. Hank  
4 Mariette (phonetic), I see your hand up. But I  
5 have a follow-up here for either of the EPA  
6 answers.

7 So, there is the opportunity to  
8 suggest that more information is needed before the  
9 request is granted for expedited reviews. Is that  
10 correct?

11 **DR. ANTHONY LUZ:** This is Anthony  
12 Luz with EPA. Yes, that's correct.

13 **DR. GEORGE COBB:** Thank you very  
14 much. And I thought that that was what the answer  
15 was given. I wanted to make sure it was clear.  
16 Dr. Ottinger.

17 **DR. MARY OTTINGER:** I have a quick  
18 question, I think, following up more along the  
19 lines of what Dr. Eisenreich was talking about.  
20 And that is that I found myself going to the  
21 literature and seeing quite a bit of information  
22 on DEHP and wondered if that figured into some of

1 the models when you were talking about a polymer  
2 matrix and how that then effects, for example, the  
3 toys and the things that are mouthed and how that  
4 would be used. I'm more curious about mechanisms  
5 of action, which seems to be somewhat lacking for  
6 DIDP.

7 **DR. LAURA KRNAVEK:** Hi, this is  
8 Laura Krnavek, working on the customer exposure  
9 assessment. I think you referred to the commenter  
10 in my presentation on looking into the prior  
11 ability that could come from the prior ability of  
12 how the products are made.

13 **DR. MARY OTTINGER:** Right.

14 **DR. LAURA KRNAVEK:** Yeah, that's one  
15 of those relatively large sources of uncertainty  
16 in the modeling. That's why we do try to obtain  
17 weight fractions and then understanding how the  
18 products are used and made and how the chemical is  
19 incorporated into the products. To the best of  
20 our knowledge, we do try that in the beginnings of  
21 building the scenarios to kind of understand how  
22 do we make assumptions about our modeling

1 approaches to dermal and mouthing, as we  
2 presented.

3 So, all those considerations are  
4 there. Some of it is very well described in the  
5 Technical Support Document. Lack of time and  
6 space here, I can't go through the details, but  
7 they're pretty well explained there -- the sources  
8 of the uncertainty and the level of understanding  
9 for the specific mechanical DIDP.

10 As to using DEHP, sometimes you can  
11 use that as an understanding to kind of understand  
12 how other phthalates are used. But there are  
13 differences in how the phthalates are incorporated  
14 into products. So, we do have to try to stick  
15 with the phthalate that we're addressing in the  
16 modeling. Yes, (inaudible).

17 **DR. MARY OTTINGER:** Thank you.

18 **DR. GEORGE COBB:** Dr. Wolf.

19 **DR. DOUGLAS WOLF:** I have two  
20 questions that are actually unrelated to each  
21 other, if I may. The first one was the comment  
22 around no unreasonable risk. And I think we've

1 had this discussion. But can you provide us some  
2 context on the definition of "unreasonable"  
3 because, you know, sometimes that's in the eye of  
4 the beholder.

5 I think for us to really help the  
6 Agency, whether it's a mitigation strategy or the  
7 human relevance or whatever, how are you -- in the  
8 focus being on margin of exposure -- how are you  
9 trying to define the context of "unreasonable?"  
10 That's anybody.

11 **DR. ANNA LOWIT:** Anna Lowit, Senior  
12 Science Advisor, OPPT. A couple things, Dr. Wolf  
13 -- how we make determinations of the  
14 interpretation of whether it's margins of exposure  
15 or other metrics of risk and how those are brought  
16 into the risk determination is outside of the  
17 scope of the SACC. The risk determination is  
18 outside of the science realm and in the policy  
19 realm. So, I guess if there's a way to maybe  
20 restate your question that asks a similar  
21 scientific question, that would be helpful.

1                   **DR. DOUGLAS WOLF:** I'll think about  
2 that. But that actually is sufficient because, if  
3 it's policy, then we can just provide the  
4 scientific input, and then you can do the policy.  
5 That's fine with me.

6                   The other question I have is around  
7 the exposure. So, as I remember from -- and maybe  
8 I'm misremembering the formaldehyde discussions we  
9 had at the previous SACC meeting, some of the  
10 source contributions for exposure assessment, it  
11 seems like for this discussion, it's a bit more  
12 expansive and a more holistic approach. And so,  
13 is the Agency moving to that more holistic  
14 approach because some of the things like toys and  
15 some other sources were off the table it seemed,  
16 unless I'm misremembering that, George, from  
17 formaldehyde, unless --

18                   **DR. GEORGE COBB:** I think you're  
19 right.

20                   **DR. DOUGLAS WOLF:** Is this approach  
21 a case-by-case, which is fine? Or are you --  
22 because these were done at different times and on

1 different time scales -- are you moving toward  
2 this more holistic approach of expansive exposure  
3 determination?

4 **DR. LAURA KRNAVEK:** This is Laura --  
5 I'm sorry.

6 **DR. ANNA LOWIT:** Go ahead, Laura.

7 **DR. LAURA KRNAVEK:** Thank you.

8 Laura Krnavek -- just addressing that we are doing  
9 -- we have the COUs, and we identify products and  
10 articles that are appropriate for each COU. And  
11 for each one of those, we have various scenarios  
12 that can represent the various use patterns that  
13 is applicable to phthalates and across the  
14 phthalates. So, the methods, then, we're  
15 selecting for these are the ones that we're  
16 addressing and concentrating on. Anna, do you  
17 have anything else to add to that?

18 **DR. ANNA LOWIT:** I was going to say  
19 the exact same thing. Thanks, Laura. But I think  
20 the formaldehyde exposure assessment is really  
21 complicated because there are different parts of  
22 EPA who regulate different parts of formaldehyde.

1 So, the TSCA assessment evaluated by the SACC  
2 focused on those things that are within the bounds  
3 of TSCA, and some of the things that the SACC had  
4 talked about were outside of the TSCA in the TSCA  
5 realm.

6 So, I can see how maybe in certain  
7 circumstances, there might be that lack of --  
8 confusion, and it's certainly within your bounds  
9 to point out those places where you think we're  
10 being inconsistent, so we can look at those  
11 internally. But Laura's 100 percent right. For  
12 each risk evaluation, as part of the process, we  
13 evaluate those COUs and get public comment on  
14 those COUs as they apply to that particular  
15 chemical, or in this case, broader to the  
16 phthalates. That's the only thing I would add.

17 **DR. DOUGLAS WOLF:** Thanks.

18 **DR. GEORGE COBB:** Thank you, Anna.

19 I'll also point out it seems that the Agency --  
20 this is George Cobb speaking -- it seems that the  
21 EPA is taking into consideration some of the  
22 things that the SACC recommended when we looked at

1 aggregate exposures with phthalates. And I think,  
2 Doug, that's probably why you're seeing some of  
3 the more holistic evaluation. It's in response to  
4 what was suggested in that risk evaluation.

5 Next, we have Dr. Fenner-Crisp.

6 **DR. PENELOPE FENNER-CRISP:** I have a  
7 question specifically to the DIDP cancer  
8 assessment. Summarized on the slide in the  
9 document is the transgenic mouse study, and it  
10 noted properly that the limit those had been  
11 exceeded in that.

12 But I'm curious to know -- and I  
13 didn't see anything either in the risk evaluation  
14 or summary or any place -- two things. What was  
15 the rationale for the selection of that single  
16 dose to be used? And were there any indications  
17 in the paper's results that would indicate that  
18 that dose exceeded the MT -- maximum tolerated  
19 dose?

20 **DR. ANTHONY LUZ:** Dr. Fenner-Crisp,  
21 Anthony Luz with EPA here. If it's all right with



1 you, I need to go back and take a look at that  
2 study before I can respond to that.

3 **DR. PENELOPE FENNER-CRISP:** Well, we  
4 won't be taking up that charge question until, I  
5 guess, Thursday, is it?

6 **DR. ANTHONY LUZ:** (Inaudible). I  
7 think so.

8 **DR. PENELOPE FENNER-CRISP:** So, it  
9 would be useful to have that information when we  
10 do start that discussion. Thank you.

11 **DR. GEORGE COBB:** Dr. Fanning.

12 **DR. ELINOR FANNING:** Hi. Thank you.  
13 And thanks to the EPA staff for those  
14 presentations. They're very helpful. I do have a  
15 couple of questions on the consumer product  
16 exposures, just for clarification.

17 The first question is just to make  
18 sure I understand that only residential exposure  
19 in use scenarios were computed. And I just wanted  
20 to ask whether other use scenarios were considered  
21 or would be considered. So, that's the first  
22 question.

1 I think my second question is fairly  
2 related, so I'll just go ahead with that. Some of  
3 the conditions' views for the phthalates are quite  
4 broad. For example, plastic products -- so we  
5 have just a large number of potential articles and  
6 products that could be chosen to kind of represent  
7 those conditions of use in the consumer modeling.

8 So, I would like to understand just  
9 a little better how EPA evaluated that body of  
10 products to really choose the representative ones  
11 to ensure that we have captured the whole range of  
12 the exposure distribution to people. Thanks.

13 **DR. LAURA KRNAVEK:** Hi, this is  
14 Laura Krnavek. Let me address first the first one  
15 with the residential. So, it does seem like it's  
16 mainly residential. A lot of these products are  
17 in larger amounts in residences, or the amount of  
18 time that people spend in these indoor  
19 environments are larger than other places. And we  
20 may get approached as making it more of a  
21 screening approach if the larger exposure scenario

1 would result in any risk then we would further  
2 investigate any other potential locations.

3 So, that's one of the reasons why  
4 residential seems to be the target, but it's not  
5 unique. We do have potentially places where  
6 certain products are used, like gyms, where  
7 they're more public spaces. Those were -- I think  
8 I'm getting off the DIDP; it's possible because I  
9 have all the phthalates mixed. DIDP may not have  
10 had that scenario, but it would be considered just  
11 for your peace of mind. In terms of selecting the  
12 pro- -- does that answer your first question, or  
13 is there further questions there?

14 **DR. ELINOR FANNING:** Yeah. I think  
15 that's very helpful. There are a couple of other  
16 location-specific scenarios that came to my mind  
17 during reading, but we can hold on those. So,  
18 thank you for the general answer.

19 **DR. LAURA KRNAVEK:** There's also  
20 inside certain products that can be found inside  
21 cars. We also looked into -- I think it was  
22 CarMax. I wouldn't have picked them specifically.

1 I think in the, potentially, ability to find  
2 monitoring data in non-residential places, we  
3 would have identified it and put it out there for  
4 people to see it. Other phthalates will have that  
5 information, but DIDP did not. So that's another  
6 data gap there for the monitoring part when we do  
7 that comparison, which is why we did the  
8 comparison mainly for residential. That's the  
9 available data, that we have it.

10 **DR. ELINOR FANNING:** That's very  
11 helpful because we were asked to look at these  
12 exposure methods with an eye toward how would they  
13 apply to DINP, to the other priority phthalates.  
14 And so, we don't see your work in the background  
15 on other locations and these kind of specific  
16 scenarios in this document, which makes it very  
17 hard to review the appropriateness of the  
18 methodology for all the phthalates. I just want  
19 to point that out. So, thank you.

20 **DR. LAURA KRNAVEK:** I don't think  
21 DIDP had -- outside or inside cars and vehicles --  
22 had other possible potentials for that scenario.

1 So, yes, we are including it in other phthalates.  
2 Yes.

3 For the second question, in terms of  
4 how we are sure that we have all the possible  
5 plastics represented. It's a daunting job. I  
6 won't say it's not. So, there's various steps  
7 there.

8 I think, in summarizing, that first  
9 step is identifying the products and the articles  
10 that are representative of the COU. We looked at  
11 SESs, and they have to actively identify the  
12 chemical -- DIDP in this case. We start with the  
13 CDR as a way of guidance in terms of where they  
14 are reporting that they have used this chemical.  
15 But the CDR doesn't commonly report on the final  
16 products and the concentration of DIDP in the  
17 final products. So, that's a starting point.

18 Then, from there on, we do in-depth  
19 searches in databases, included are assessments  
20 and publications where they have actually made  
21 product testing, and they have identified the  
22 chemical and have measured it and provided a

1 concentration. So, we do that, and that means we  
2 identify them. So, when you see plastics in the  
3 COU, and we have identified the products within  
4 them, we have identified the presence of the  
5 chemical in those products.

6 **DR. ELINOR FANNING:** Thank you.

7 **DR. LAURA KRNAVEK:** That's specified  
8 in the Technical Support Document, Section 2 --  
9 somewhere in it.

10 **DR. ELINOR FANNING:** Thank you very  
11 much.

12 **DR. GEORGE COBB:** I see Dr. Chaisson  
13 has her hand up.

14 **DR. CHRISTINE CHAISSON:** Thank you,  
15 Dr. Cobb. My second question that I was referring  
16 to earlier was really along the lines that we just  
17 heard. I was wondering if it's possible for EPA -  
18 - in the next day or so -- to give us a very brief  
19 outline on two things that have to do with the  
20 other phthalates that we're considering. This was  
21 an issue, I know, for me. And when I started  
22 trying to do my own tally of what should be

1 considered for the exposure assessment that relies  
2 really on two key points -- the first being, what  
3 are the different COUs that are going to be -- at  
4 least the obvious ones to EPA -- that need to be  
5 considered? And the second is what are the key  
6 differences in the hazard metrics that will likely  
7 drive the risk paradigm for the other phthalates,  
8 just as we have the non-cancer POD or the cancer  
9 or other endocrine effects or whatever.

10 If we can just get a really brief  
11 hand of what we might be looking at -- might be is  
12 underscored -- so that we can offer the advice  
13 that is in Question 1.a.v and 1.b.iv with a little  
14 more focus. I don't know if that's possible, but  
15 it was sort of really a challenge to be able to  
16 just quickly look that kind of stuff up. Also --  
17 well, I'll leave it at that. But thank you very  
18 much for the opportunity to the question.

19 **DR. GEORGE COBB:** I see Dr. Lowit  
20 has appeared on our screen.

21 **DR. ANNA LOWIT:** Yes. Thanks, Dr.  
22 Cobb. Anna Lowit, Science Advisor. Thanks for

1 the question, Dr. Chaisson. Let me get with the  
2 team either this afternoon or at lunch on your  
3 question on the COUs and the extent to which they  
4 exist in public information from what we call the  
5 scope documents will help.

6 But on the hazard information, I  
7 will respectfully say no because mostly we're  
8 still working on those, and we haven't made our  
9 determinations. And they're certainly not  
10 publicly accessible at this point because we're  
11 still actively doing that work -- even this week,  
12 we're doing that work offline. So, I have to say  
13 no on the hazard. But we'll get back to you later  
14 in the afternoon on what we can do on the COUs.

15 **DR. CHRISTINE CHAISSON:** Thank you,  
16 Dr. Lowit. I really appreciate anything you could  
17 do there.

18 **DR. GEORGE COBB:** Let me offer a  
19 little bit of a, perhaps, breather for the EPA.  
20 We're scheduled to take that question tomorrow  
21 afternoon, and so if you need until just before or  
22 just after noon tomorrow to pull together whatever



1 response to Dr. Chaisson's question, that'll fit  
2 right into our schedule.

3 **DR. ANNA LOWIT:** That works. The  
4 COUs are very similar across, and the scopes  
5 should be publicly accessible, but it's also  
6 unfair to ask people to fiddle through probably  
7 what's a thousand pages in a short period of time.  
8 Let me huddle with my team and see what we can do  
9 to help. It may not be much more than the scopes,  
10 but we'll try.

11 **DR. GEORGE COBB:** That would be  
12 helpful. Thank you very much. So, are there  
13 other questions from the Committee about the  
14 presentations we saw today?

15 I do have a couple. Going back to  
16 the last presentation, were pet toys considered in  
17 the ingestion or inhalation contributions, and if  
18 not, does EPA think that they would have been  
19 important contributors or change the outcome?

20 **DR. LAURA KRNAVEK:** Thanks for that  
21 question. I'm Laura Krnavek, consumer and indoor  
22 exposure assessment lead. The question is -- so I

1 rephrase it again -- for the toys, if we had  
2 considered in addition to inhalation other  
3 exposure routes?

4 **DR. GEORGE COBB:** Were pet toys --  
5 and accessories, actually -- for dogs and cats.

6 **DR. LAURA KRNAVEK:** Oh, all right.  
7 Pet toys -- I think we have not specifically pet  
8 toys. We have children toys. They have, compared  
9 to pet toys, probably larger times of exposure.  
10 Kids are playing with them for longer amount of  
11 time and longer durations. You wanted to know if  
12 there's exposures to animals or to people?

13 **DR. GEORGE COBB:** People.

14 **DR. LAURA KRNAVEK:** So, pet toys  
15 were not identified in DIDP -- one of the example  
16 products.

17 **DR. GEORGE COBB:** The reason I ask  
18 is the concentrations of phthalates in those kinds  
19 of materials may be actually fair amount higher  
20 than for children's toys. And a toddler does not  
21 care what they put in their mouth. If it's

1 squishy, and the puppy's playing with it, they  
2 might want to play with it too.

3           The other thing is some unpublished  
4 data that some of our students collected in the  
5 past was chemicals with similar properties to  
6 these phthalates that are pesticides turned out to  
7 be some of the highest concentration chemicals in  
8 carpet dusts. It was the chemicals that were flea  
9 and tick collars and that kind of thing were some  
10 of the highest concentration compounds in carpet  
11 dust. So, chemicals of this kind of volatility  
12 could very easily be coming from these types of  
13 sources. That's just the genesis of that  
14 question.

15           I see Dr. Fanning had -- thank you  
16 for the answer. I think that answered my  
17 question. Dr. Fanning has a question.

18           **DR. ELINOR FANNING:** Thank you. Dr.  
19 Cobb's question just reminded me of another of the  
20 exposure questions I had, which is does the  
21 consumer exposure model allow for carpeting as a  
22 sink and reservoir for dust in homes, or does the

1 model assume hard flooring? I know we had hard  
2 flooring as a product. But I'm wondering about  
3 the role of carpeting.

4 **DR. LAURA KRNAVEK:** This is Laura  
5 Krnavek. For carpeting, we can do that, and we  
6 will be doing that. Some of the phthalates will  
7 be having that COU. And then the model does allow  
8 for it. There are different approaches in doing  
9 that. It's very similar to flooring, other than  
10 the amount of dust it does collect in it -- the  
11 surface areas and the coverage in an indoor  
12 environment. However, I think we will use the  
13 same surface area of coverage to compare them.  
14 Also, the concentrations found in each one of  
15 these products are different.

16 **DR. ELINOR FANNING:** I wasn't  
17 specifically referring to carpet as an article for  
18 COU. I meant as a component of the household --  
19 of the model that can entrap dust and change the  
20 way that dust moves through the compartments in  
21 the modeling system. That was kind of what I was  
22 getting at.

1                   **DR. LAURA KRNAVEK:** I see. For that  
2 kind of modeling, the way we did it is the  
3 presence of the dust on the surface of the  
4 article. So, it wasn't done as in the whole  
5 entire house, in terms of the house is covered  
6 with all of these furnitures -- only the presence  
7 on the furniture -- the dust. So, then you would  
8 have the dust that covers that surface in that  
9 location.

10                   We're just looking at how much is  
11 collected on that surface and how much of that  
12 surface becomes available for inhalation, rather  
13 than looking at the surface of the whole house,  
14 unless we're looking at the carpet or the actual  
15 flooring. Does that make sense?

16                   We try to separate the surface  
17 areas, so we can make assessments specific to that  
18 article and that surface area. The couch, for  
19 example, is a surface area that is different from  
20 the whole flooring. So, you're asking how the  
21 whole flooring effects the indoor assessment, but  
22 we're looking at each one of them separately as a

1 source of dust, rather than doing it as in a  
2 collective of all of these things together.

3 We do a comparison of the aggregate  
4 of all of them, and that's available in the  
5 documents as well. But when we go by COU, we go  
6 by article and by scenario. So, that would be  
7 individual article and the contribution of that  
8 individual article to exposures.

9 **DR. ELINOR FANNING:** Thank you.  
10 I'll give it a think.

11 **DR. GEORGE COBB:** I'll follow up.  
12 That does mean the aggregate exposure is really  
13 important. That's a great question. Anna, thank  
14 you for that answer as well.

15 I see Dr. Li has a question.

16 **DR. LI LI:** Good morning. This is  
17 Li Li speaking. I just have a follow-up question  
18 about dust contamination, just to double-check if  
19 I understand this correctly. You just focus on  
20 the dust on the product or article surfaces  
21 because you assume that part of dust is more  
22 contaminated than the dust found on the flooring

1 of the carpet? So, that's why you focus on the  
2 dust of the surface only. Is that correct?

3 **DR. LAURA KRNAVEK:** Thank you for  
4 the question. This is Laura Krnavek. I think  
5 this is related to the last conversation. So, for  
6 the dust, we do have an aggregate where we collect  
7 all the articles that are potentially found in  
8 indoor environments. Then we calculate an  
9 aggregate, and we compare that to the monitoring,  
10 which is also an aggregate of all the  
11 contributions of dust and the chemical into dust  
12 for the indoor environment. But for the  
13 calculations further on, for risk calculations and  
14 the ultimate goal of determining risk, we do it  
15 for COU. Then we calculate the dust on that  
16 surface on its own. So, each article has their  
17 own dust contribution. So, it will be just the  
18 dust that can collect on that surface.

19 **DR. LI LI:** That means you do the  
20 modeling separately for the dust on the surface  
21 and the aggregate exposure, right?

1                   **DR. LAURA KRNAVEK:** Yes. We do  
2 first the unique articles, and then we do the  
3 aggregate.

4                   **DR. LI LI:** I got it. Thank you.

5                   **DR. ELINOR FANNING:** I actually have  
6 another follow-up because now I'm wondering -- so,  
7 if the dust from our couch, if it's shedding  
8 particles, it's abrading; pieces of its DIDP  
9 coating are coming off and contributing to house  
10 dust generally, then isn't my exposure as I walk  
11 through the living room to any suspended dust that  
12 is in the space? Again, maybe we're taking too  
13 much of the group air time on a very exposure-  
14 specific question, but if there's a short answer  
15 to that, I appreciate it. If not, we can pursue  
16 in another way.

17                   **DR. LAURA KRNAVEK:** I think I can do  
18 this shortly. For the purpose of it, we do have  
19 to do this by COU. So, for COU, we identify the  
20 articles and then we do this for COU per article,  
21 just so we can address the specific COU  
22 contributions to risk. Then, the aggregate is



1 performed to kind of provide an idea of what you  
2 just asked. The contribution of all these sources  
3 need to be indoor environment.

4 In addition, we compare it to  
5 monitoring data that also provides all the  
6 contributions, all the sources that are available  
7 in the indoor environment, and compare it. So, we  
8 can talk about the difference between the modeling  
9 and the monitoring and then talk about the  
10 differences because there are quite a few large  
11 differences between monitoring and modeling when  
12 we do the aggregate. We try to put it together as  
13 this in whole. I hope that was the fast way to  
14 show us.

15 **DR. ELINOR FANNING:** That's very  
16 helpful. I really do appreciate your time on  
17 these. Thank you.

18 **DR. GEORGE COBB:** Are there other  
19 questions from the Committee? If not, I think  
20 it's about time for a lunch break.

21 One thing I'd like to say before  
22 lunch is, as we discussed in the charge question

1 clarifications, there are some topics about the  
2 risk assessment for DIDP that we will probably  
3 cover in our charge question responses for the  
4 questions that have been asked. But we may need  
5 to revisit that at the end of the DIDP charge  
6 questions before we move into DINP. I did not  
7 want that to catch the Agency presenters off guard  
8 or anything like that. So, that's probably a  
9 slight change in the agenda that's not written  
10 there. With that, I think I'll turn it back over  
11 to our designated federal official, Alaa Kamel.  
12 Alaa, are you there?

13 **DR. ALAA KAMEL:** I'm here. As  
14 George was saying, we can go on a lunch break now,  
15 and we meet after one hour. So, it will be about  
16 1:15, 1:20 -- one hour from now. Thank you very  
17 much, and see you later.

18 **DR. GEORGE COBB:** That's good.  
19 Let's reconvene at 1:20.

20 **DR. ALAA KAMEL:** 1:20 -- okay.  
21 Great.

22

1 [LUNCH BREAK]  
2

3  
4 EPA TECHNICAL PRESENTATION 3  
5

6 DR. GEORGE COBB: Let's proceed and  
7 before we start with the EPA's next presentation,  
8 which would be presentation three, I want to  
9 circle back and see if any questions came up from  
10 the committee related to the presentations that  
11 were provided earlier today. Okay, if there are  
12 none, then we're going to look at the DINP Human  
13 Health Assessment and Dr. Luz is going to provide  
14 that for us.

15 DR. LUZ: Thanks Dr. Cobb. All right,  
16 welcome back everyone. I hope everyone enjoyed  
17 their lunch break. Again, my name is Anthony Luz  
18 with EPA and I'll now be giving an overview of  
19 DINP human health hazards. Next slide please.

20 Okay, so for this portion of the  
21 presentation, I'm going to start with an overview  
22 of DINP non-cancer human health hazards, which  
23 provide information relevant primarily to DINP

1 charge 2A and 2B, and then I'll give an overview  
2 of DINP cancer human health hazards, which provide  
3 information relevant primarily to DINP charge  
4 questions 2C through 2E. Next slide please.

5 All right, let's jump right into the  
6 DINP non-cancer human health hazard overview. Next  
7 slide please.

8 Okay, so this slide shows the DINP  
9 risk evaluation document map. For this portion of  
10 the presentation, I'll be providing an overview of  
11 non-cancer human health hazards. Again, this  
12 primarily will contain information pertaining to  
13 charge questions 2A and 2B, and to a lesser extent  
14 2E. Also, I'd like to note here that the DINP non-  
15 cancer human health hazard assessment technical  
16 support document is the primary document that  
17 provides information pertaining to this portion of  
18 the charge. Next slide please.

19 Okay, similar to DIDP, DINP is a  
20 well-studied phthalate with numerous short-term  
21 sub-chronic and chronic oral exposure studies  
22 conducted in multiple species, including mice,

1 rats, beagle dogs, and monkeys. Further, the  
2 developmental and reproductive effects of DINP  
3 have been investigated in one- and two-generation  
4 studies of reproduction, indicator-relevant EPA  
5 guidelines, as well as numerous gestational and  
6 perinatal oral exposure studies of rats and mice,  
7 many of which focused on examination of effects on  
8 the developing male reproductive system. Also  
9 similar to DIDP, there are no dermal or inhalation  
10 studies of DINP relevant for dose response or for  
11 deriving non-cancer toxicity values. Therefore, we  
12 are proposing to use the oral non-cancer points of  
13 departure to assess risk for the dermal and  
14 inhalation rats. Next slide please.

15 Okay, across available studies, the  
16 two most sensitive non-cancer hazards identified  
17 by EPA were liver and developmental toxicity,  
18 which again is consistent with the conclusions of  
19 other regulatory and authoritative agencies such  
20 as USCPSC, NTP, Health Canada, ECA, and others. In  
21 the liver, across available studies, a spectrum of  
22 effects have been observed many of which are

1 consistent with activation of nuclear receptors,  
2 such as peroxisome proliferator-activated receptor  
3 alpha or PPAR-alpha. Observed effects include  
4 increases in relative liver weights and  
5 hepatocellular hypertrophy, and with longer  
6 duration exposures, evidence of adversity can be  
7 observed, including increases in serum chemistry  
8 markers of liver toxicity such as serum ALT and  
9 AST, as well as histopathology, such as necrosis,  
10 spondyrosal pathos, and formation of  
11 hepatocellular tumors.

12 In contrast to DIDP, which did not  
13 show evidence of anti-androgenic effects on the  
14 developing male reproductive system, exposure to  
15 DINP does induce effects on the developing male  
16 reproductive system consistent with the disruption  
17 of antigen action and development of thiolite  
18 syndrome. Notably, EPA has previously concluded  
19 that DEHP, BDP, DDP, DIBP, DCHP, and DINP are  
20 toxicologically similar and induce effects on  
21 developing male reproductive system consistent  
22 with thiolite syndrome. Importantly, SAC

1 previously reviewed and supported this conclusion  
2 during the May 2023 peer review of EPA's draft  
3 proposed approach for cumulative risk assessment  
4 of phthalates. Next slide, please.

5 Okay, so this slide summarizes the  
6 non-cancer POD selected for DINP that we propose  
7 to be used to characterize risk from acute and  
8 intermediate duration exposures. For this POD, we  
9 have preliminary selected a BMDL-5 of 49  
10 milligrams per kilogram per day based on reduced  
11 fetal testicular testosterone. So this BMDL-5 was  
12 derived by the National Academies of Sciences,  
13 Engineering, and Medicine based on meta-analysis  
14 and BMD modeling of rat fetal testicular  
15 testosterone data in two medium-quality studies.

16 Next, the non-cancer BMDL-5 of 49  
17 milligrams per kilogram per day was converted to a  
18 human equivalent dose or HED of 12 milligrams per  
19 kilogram per day using elementary body weight  
20 scaling to the three-quarters power. And finally,  
21 for the benchmark margin of exposure, total  
22 uncertainty factor of 30 was selected based on use

1 of an intra-species uncertainty factor or UFH of  
2 10 and the inter-species uncertainty factor or UFA  
3 of 3, which was reduced from a value of 10 to 3  
4 because three-quarters body weight scaling was  
5 used to derive the HED. Next slide, please.

6 Okay. Overall, we have robust  
7 confidence in the selected acute and intermediate  
8 POD, and several lines of scientific evidence  
9 support our decision in the selected POD. First,  
10 it's important to note, again, that we previously  
11 concluded that DINP is toxicologically similar  
12 with ballot diesters such as DEHP and can induce  
13 effects on the developing reproductive system  
14 consistent with the disruption of androgen action  
15 and development of thyroid syndrome. Again, this  
16 conclusion was supported by SAC during the  
17 previous peer review meeting, and our conclusion  
18 is consistent with how other regulatory bodies  
19 have characterized DINP, including agencies such  
20 as USCPSC and Health Canada and others.

21 So our conclusion is based on  
22 evidence that oral exposure to DINP during the



1 critical window of development in rats can  
2 consistently and dose-dependently reduce mRNA  
3 expression of genes involved in cholesterol  
4 transport and steared genesis and the fetal  
5 testis, as well as reduce fetal testis  
6 testosterone content in their ex-fetal  
7 testosterone production in rats.

8           Additionally, exposure to DINP can  
9 cause increases in latex cell aggregation,  
10 increased incidence in non-nucleated gonocytes,  
11 and decreased sperm motility in adult rats,  
12 reduced malostrine in general distance, and  
13 increased malostrine nipple retention. Also, as  
14 discussed throughout our proposed approach for  
15 human risk assessment of ballots under TAXA, and  
16 in the current DINP non-cancer technical support  
17 documents, we acknowledge that DINP is a less  
18 potent antiandrogen compared to other dialect  
19 diesters being evaluated under TAXA, and certain  
20 apical outcomes associated with dialect syndrome,  
21 such as reduced malpup in general distance or  
22 increased malpup nipple retention, are observed

1 less consistently across available studies.

2 Regardless, this observation is consistent with  
3 the DINP being a less potent antiandrogen compared  
4 to other ballots. Next slide, please.

5 Okay, so as I mentioned earlier, we  
6 are proposing to use the non-cancer POV of 12  
7 milligrams per kilogram per day based on reduced  
8 fetal testis testosterone to characterize risk for  
9 acute and intermediate durations of exposure.

10 Although no studies are available for DINP that  
11 have evaluated effects on the developing mal-  
12 reproductive system following a single acute  
13 exposure during the critical window of  
14 development, studies are available for  
15 toxicologically similar phthalates, dibutyl  
16 phthalate, or DBP, and available studies indicate  
17 that a single exposure during the critical window  
18 can reduce mRNA expression genes involved in  
19 cholesterol transport and steroid genesis, and  
20 reduce fetal testis testosterone content. Further  
21 studies of DBP have also demonstrated that as few  
22 as two exposures to DBP during the critical window

1 of development are sufficient to cause severe  
2 later life male reproductive tract malformations.

3 Therefore, we considered the effects  
4 of reduced fetal testicular testosterone relevant  
5 for assessing risk from acute duration exposures  
6 to DINP. The POV was also selected for assessing  
7 intermediate duration exposures to DINP because  
8 the POV has one of the most sensitive and robust  
9 candidates identified across available studies.

10 Okay, so this slide summarizes the  
11 non-cancer POV selected for DINP that has been  
12 proposed to be used to characterize risk from  
13 chronic duration exposures. For this POV, we have  
14 preliminarily selected a NOAEL of 15 milligrams  
15 per kilogram per day from a high quality two-year  
16 chronic dietary study of F344 rats reported by  
17 LinkedIn et al. So the NOAEL is based on a  
18 spectrum of liver effects, including increases in  
19 relative liver weight, increases in serum alanine  
20 and aspartate transaminases at various time points  
21 throughout the study, and histopathologic lesions  
22 such as spongiosis of paddus and cursus at higher

1 doses. Again, using allometric body weight scaling  
2 to three-quarters power, we derived an HED of 3.5  
3 milligrams per kilogram per day, and a total  
4 uncertainty factor of 30 was selected for use as  
5 the benchmark MOA based on use of an interest  
6 species uncertainty factor or UFH of 10 and an  
7 interspecies uncertainty factor or UFA of 3.  
8 Again, the interspecies uncertainty factor is  
9 reduced from a value of 10 to 3 because three-  
10 quarters body weight scaling was used to derive  
11 the HED. Next slide, please.

12 Okay, so overall, we have robust  
13 confidence in the selected chronic POV. Several  
14 lines of scientific evidence support our selected  
15 POV. First, the selected POV came from a study  
16 that received a high overall study quality  
17 determination and represents the most sensitive  
18 POV identified by EPA across all studies  
19 considered, including four three-year chronic  
20 dietary studies, six 13-week sub-chronic dietary  
21 studies, as well as one and two generation studies  
22 of reproduction. At the loyal, a spectrum of dose-

1 related effects consisting of liver toxicity were  
2 observed, including treatment-related increases in  
3 relative liver weights, increases in serum ALT,  
4 AST and ALP, and histopathologic findings such as  
5 spondylus and sopatus.

6 Further, a similar spectrum of liver  
7 effects, including increases in liver weights,  
8 changes in the serum chemistry markers, indicative  
9 of liver toxicity, and other histopathologic  
10 lesions in the liver have been observed in other  
11 sub-chronic and chronic studies of DINP in several  
12 species. Finally, it's also notable that other  
13 regulatory bodies such as Health Canada, USCPSC,  
14 EFSA, and ECA have also set the same noel for use  
15 in risk characterization. Next slide, please.

16 So just to recap quickly, we are  
17 proposing to use a non-cancer POV of 12 milligrams  
18 per kilogram per day based on reduced fetal  
19 testicular testosterone to characterize non-cancer  
20 risk for acute and intermediate duration  
21 exposures. Importantly, we've previously concluded  
22 that exposure to DINP can induce effects on the

1 developing of our reproductive system and the  
2 disruption of androgen action. This conclusion was  
3 previously supported by the SAC. For chronic  
4 durations, we are proposing to use a non-cancer  
5 POV of 3.5 milligrams per kilogram per day based  
6 on liver toxicity, notably other regulatory  
7 agencies have also set the same noel for use in  
8 characterizing risk.

9 Okay, so now we're going to be  
10 transitioning to an overview of the DINP cancer  
11 human health hazards.

12 Right, here again, you can see the  
13 DINP risk evaluation map. And for this portion of  
14 the presentation, I'll be providing an overview of  
15 the cancer human health hazards, finding  
16 background information that primarily pertains to  
17 charge questions 2C, 2D, and 2E. Also, the DINP  
18 cancer human health hazard assessment technical  
19 support document is the primary document that  
20 provides information providing this portion of the  
21 charge.

1           Okay, so DINP has been evaluated for  
2 personogenicity in four two-year dietary studies,  
3 including two of F344 rats from the Sprang Valley  
4 rats and one of B6C3F4 mice across the four  
5 reliable studies. Three tumor types have been  
6 consistently observed, including liver and kidney  
7 tumors, as well as MNCL and F344 rats. As we're  
8 talking a bit more about each tumor type a bit  
9 more over the next couple of slides. But I would  
10 like to note here that we are focusing our cancer  
11 emotive action analysis and dose response  
12 assessment to the liver tumors, and reasons for  
13 this will become more apparent over the next  
14 couple of slides.

15           Again, at this stage, I'd also like  
16 to note that while the DINP has been classified as  
17 a carcinogen by California OCEA, and is listed as  
18 a carcinogen under Proposition 65, other  
19 regulatory agencies, including USCPSC, Health  
20 Canada, Australian ICNAS, and that got not  
21 classified DINP as a carcinogen or evaluated DINP  
22 for quantitative cancer risk.

1                   So this slide provides a summary of  
2                   available genotoxicity and mutagenicity data for  
3                   DINP. The mutagenic and genotoxic potential of  
4                   DINP has been evaluated in 20 studies. Across  
5                   studies, no evidence of mutagenic activity was  
6                   observed in five bacterial reverse mutation assays  
7                   or two in vitro mast lymphoma assays, further  
8                   without metabolic activation. Further, DINP did  
9                   not induce chromosomal aberrations in Chinese  
10                  hamster ovary cells in vitro, cause unscheduled  
11                  DNA synthesis in primary hepatocytes, or induce  
12                  clastogenic effects on the pro-nuclei formation in  
13                  vivo in studies of mice or rats.

14                  Of the nine available in vitro  
15                  transformation assays, only one study reported a  
16                  positive result for transformation on the absence  
17                  of metabolic activation. So overall, based on the  
18                  weight of scientific evidence, we've preliminarily  
19                  concluded that DINP is not likely to be genotoxic  
20                  or mutagenic, which is consistent with conclusions  
21                  of other regulatory agencies.



1           Okay, so this slide covers kidney  
2 tumors. So two different types of kidney tumors  
3 have been observed in male F344 rats. Here, it's  
4 important to note that no kidney tumors have been  
5 observed in female F344 rats, male or female  
6 sprague dolly rats, or male and female mice. The  
7 two tumor types observed include transition cell  
8 carcinomas and tubular cell carcinomas. As you can  
9 see from the data table on the side, incidence of  
10 transition cell carcinomas in both studies was  
11 low, was not statistically significant, did not  
12 occur in a dose-related manner.

13           For these reasons, the transition  
14 cell carcinomas observed in male F344 rats were  
15 considered to be of uncertain toxicologic  
16 significance. For the tubular cell carcinomas  
17 observed in two studies of male F344 rats, there  
18 is evidence to support a mal-rat specific alpha-2  
19 globulin mode of action, which is not considered  
20 human relevant. Lines of evidence supporting this  
21 mode of action include the facts that kidney

1 tumors were only observed in mal-rats and DINP is  
2 not genotoxic or mutagenic.

3 Further, in a retrospective re-  
4 analysis of archived kidney tissue taken from a  
5 12-month interim sacrifice and study by Olmton et  
6 al., a dose-dependent increase in accumulation of  
7 alpha-2 globulin, an increased droplet size on the  
8 kidneys of high dose males, but not female rats,  
9 was observed. Further, photomicrographs for  
10 proliferating cell nuclear antigen in alpha-2  
11 mutaglobulin staining showed foci of proliferating  
12 cells in alpha-2 mutaglobulin accumulating in  
13 proximal tubule cells in the kidney. Notably,  
14 other agencies run similar conclusions regarding  
15 in alpha-2 mutaglobulin mode of action and human  
16 relevancy.

17 So, this slide provides an overview  
18 of the data for mutagenic cell leukemia or MNCL.  
19 As you can see from the tables of instance data  
20 off to the right of this slide, MNCL has  
21 consistently been observed in two two-year dietary  
22 studies of male and female f344 rats conducted by

1 Cobant's labs and LinkedIn et al. However, in two  
2 other chronic dietary studies of sprague valley  
3 rat's mice, MNCL was not observed. However, this  
4 isn't necessarily surprising given the high rate  
5 of spontaneous background occurrence of MNCL on  
6 f344 rats. As I previously discussed for DIDP,  
7 there are several important uncertainties related  
8 to MNCL and f344 rats.

9 This is uncertainty of MNCL. It's  
10 important to consider historical control data and  
11 time-to-onset data in addition to concurrent  
12 control data when determining if MNCL is  
13 treatment-related or not. For DINP, again, we do  
14 not have historical control data from the  
15 laboratories conducting the studies.

16 **DR. GEORGE COBB:** So, Dr. Lois, your  
17 audio and video are freezing. Do we have any  
18 solution to that problem, Ella, Charlene? We'll  
19 try to reach the EPA team. They're presenting from  
20 North Carolina. Or has unclear human relevance?  
21 Tony, excuse me. So, we lost you for like a minute  
22 or so. You were frozen and we didn't hear

1 anything. So, if you can go back like a minute  
2 worth of talking.

3 **DR. ANTHONY LUZ:** Which slide was I  
4 on when I froze? I'm sorry for that.

5 **DR. GEORGE COBB:** You were still on  
6 80 and you had gotten through the MNCL and the  
7 different regulatory agencies. I think that's  
8 where you had regulatory bodies. I think that's  
9 where you ended or where we stopped hearing you.

10 **DR. ANTHONY LUZ:** Okay. So, you heard  
11 all the uncertainties related to MNCL, that part  
12 of the discussion. I think the main conclusion  
13 from this slide is, you know, overall, just as for  
14 DINP, there's scientific, you know, uncertainty  
15 remaining related to MNCL and F344 rats. And  
16 therefore, we did not consider MNCL for cancer  
17 dose response assessments. And again, this is  
18 consistent with how several other regulatory  
19 bodies have characterized MNCL. So, agencies such  
20 as USCPSC, Health Canada, AstraZeneca, NICNAS, and  
21 ECA have concluded that MNCL is not human relevant

1 or has unclear human relevance. Next slide,  
2 please.

3 Okay. So, moving on to liver tumors.  
4 So, this slide provides a summary of studies in  
5 which hadicellular adenomas and or carcinomas have  
6 been observed. This can be seen from the table off  
7 to the right of the slide. Across the four  
8 available two-year dietary studies, liver tumors  
9 have been observed in male and female B6C3F1 mice  
10 and male and female F344 rats in the two studies  
11 conducted by Cobant's labs. Hepatocellular  
12 carcinomas were also observed in high-dose female  
13 sprague dolly rats in the biodynamics study. No  
14 significant increases in liver tumors were  
15 observed in male female F344 rats in the study  
16 conducted by LinkedIn et al.

17 However, the highest doses achieved  
18 in that dietary study, which were 307 to 375  
19 milligrams per kilogram per day, were less than  
20 the doses required to cause liver tumor genesis in  
21 the other two-year studies of rats. So, it's  
22 actually not that surprising that liver tumors

1 weren't observed in the study by LinkedIn et al.  
2 So, given that treatment related tumors were  
3 observed consistently across species and both  
4 sexes, that liver tumor genesis appears to  
5 represent a progression from non-cancer liver  
6 effects. We focused our mode of action and cancer  
7 dose response analysis to liver tumors. Next  
8 slide, please.

9                   Okay, for liver tumors observed in  
10 rodents, so mice and rats, we postulated that DINP  
11 causes liver tumors to repeat our alpha mode of  
12 action. We evaluated the postulated mode of action  
13 consistent with EPA cancer guidelines and the IPCS  
14 mode of action framework. Here, I'd also like to  
15 note that consistent with EPA, some other  
16 regulatory agencies have also hypothesized a rule  
17 for PFR alpha in DINP liver tumor genesis. All I  
18 see you coming off mute. Do we have any  
19 connectivity issues still?

20                   **DR. GEORGE COBB:** I'm good. I don't  
21 know if I can speak for anybody else. I can hear  
22 you fine.

1                   **All:** Yeah, we can hear you.

2                   **DR. ANTHONY LUZ:** Great. Thanks, Dr.  
3 Cobb. Okay, so the PPAR $\alpha$  mode of action is  
4 described in several publications reported by  
5 Courtney All is depicted on the bottoms of the  
6 slide. So, in this mode of action, the first key  
7 event is activation of PPAR $\alpha$  in hepatocytes. So,  
8 PPAR $\alpha$  activation can be assessed directly using  
9 trans activation assays or indirectly by measuring  
10 specific events associated with PPAR $\alpha$  activation,  
11 such as increased activity of palmitoyl co-  
12 oxidase, increased peroxisomal weight oxidation,  
13 or changes in expression of genes regulated by  
14 PPAR $\alpha$ .

15                   Key event two involves alterations  
16 in cell growth pathways. It can involve activation  
17 of cryptocells in the liver leading to increases  
18 in secretion of cytokines, such as tumor necrosis  
19 factor alpha or interleukin 1 alpha or interleukin  
20 1 beta, which in turn can affect hepatocyte  
21 traits. However, I would like to note here that  
22 there is some uncertainty in how PPAR $\alpha$  and other

1 nuclear receptors for that matter can actually  
2 alter cell fates.

3 For key event three involves  
4 perturbation of cell growth and survival, which is  
5 characterized by increased replicative hepatocyte  
6 DNA synthesis and cell proliferation in both  
7 normal and premium plastic hepatocytes as well as  
8 suppression of apoptosis. This can lead to a  
9 fixation of DNA damage and genes controlling cell  
10 growth, which in turn can lead to silencing of  
11 tumor suppressor genes or activation of oncogenes  
12 contributing to clonal expansion of initiated  
13 cells.

14 Finally, for key event four, it  
15 involves the selective clonal expansion of premium  
16 plastic liver cells, which in turn leads to the  
17 outcome of paticellular adenomas and or  
18 carcinomas. Next slide, please.

19 Okay, so this slide provides a high-  
20 level summary of available data to support the  
21 PPAR $\alpha$  motive action. As you can see, there's  
22 considerable data for key event one or PPAR $\alpha$



1 activation. So activation of PPAR $\alpha$  in hepatic  
2 cells by DINP has consistently been demonstrated  
3 in five in vivo studies of mice and four in vivo  
4 studies of rats. While no evidence of PPAR $\alpha$   
5 activation in hepatic cells is observed in two in  
6 vivo studies of monkeys. Additionally, four in  
7 feature studies are available that consistently  
8 demonstrate that rat mouse hepatocytes are more  
9 sensitive to PPAR $\alpha$  activation following exposure  
10 to DINP compared to human and monkey hepatocytes.

11 For key event two limited data is  
12 available. EPA identified a single study of mice  
13 that reported increased TNF alpha and interleukin  
14 one and liver homogeneous following 14 days of  
15 exposure to DINP, providing some evidence for key  
16 event two. However, this study is limited due to  
17 the fact that study authors did not identify the  
18 specific KE one subtypes being measured for key  
19 event, three, evidence of increased hepatocyte DNA  
20 replication and cell proliferation comes from  
21 multiple studies, crossing vivo studies of mice  
22 and rats, an acute cell proliferative response in

1 the liver is consistently observed. In contrast,  
2 cell proliferation in the liver is not sustained  
3 chronically in either species.

4 However, it's discussed in the  
5 literature and publications by corneal cited on  
6 some of the earlier slides. Weak PPAR $\alpha$  activators  
7 tend to produce transient increases in replicative  
8 DNA synthesis during the first few days or weeks  
9 of exposure followed by a return to baseline  
10 levels, and therefore lack of a sustained  
11 proliferative response is not inconsistent for the  
12 proposed mode of action.

13 Finally, for suppression of  
14 apoptosis. There's limited in vivo data to provide  
15 support for suppression of Apoptosis in the liver  
16 following exposure to DINP. However, two studies  
17 of primary wraps hepatocytes have demonstrated  
18 that exposure to DINP can suppress apoptosis.  
19 Finally, for key event four, you identified no  
20 data. This is a data gap. Next slide, please.

21 Okay. So across available studies,  
22 we observed some evidence of dose response

1 concordance in mice and rats. For example, key  
2 events one and three occurred at lower doses than  
3 liver tumors hover concordance if cross key events  
4 was less evidence, which was in part due to the  
5 fact that available studies were a varying design.  
6 Were somewhat limited by dose selection and dose  
7 spacing.

8           There's also some evidence of  
9 temporality. For example, there's evidence that  
10 key events one and three procedure liver tumor  
11 formation in rats, and that key events one, two  
12 and three proceeded liver tumor formation in mice.  
13 As part of our analysis, we also considered other  
14 modes of action in addition to PPAR alpha, all of  
15 which are also non genotoxic threshold modes of  
16 action.

17           For other modes of action, there's  
18 some evidence that di NP can inhibit gap junction,  
19 intercellular communication, cause cytotoxicity  
20 that might contribute to regenerative  
21 proliferation of hepatocytes, as well as modulate  
22 other nuclear receptors in addition to PPAR alpha,

1 for example, in vitro studies are available that  
2 have shown that damp can activate constitutive  
3 androgen receptor or pregnant X receptor or PXR  
4 and arrow hydrocarbon receptor AHR. However,  
5 evidence for these other modes of action is  
6 generally limited to a few studies. Regardless,  
7 there is some potential that these other modes of  
8 action could potentially contribute to  
9 carcinogenesis and not the liver.

10 There are also some uncertainties  
11 and limitations in the current mode of action  
12 analysis, but it is important to note here that  
13 there are, of course, uncertainties in every mode  
14 of action analysis. For example, there's limited  
15 data for key event two and no data for key event  
16 four. There's also limited data for in vivo  
17 suppression of apoptosis, which is part of key  
18 event three. However, lack of this in vivo  
19 apoptosis data is somewhat addressed by the in  
20 vitro data that shows DINP can suppress apoptosis  
21 in primary hepatocytes.

1                   There are also some unexplained  
2                   inconsistencies. For example, liver tumors were  
3                   observed at lower doses in female mice than in  
4                   male mice, while increases in Pyro activation and  
5                   proliferative DNA responses were observed at lower  
6                   doses in male mice compared to females. However,  
7                   despite some of the remaining uncertainties and  
8                   limitations, we believe there'd be strong evidence  
9                   to support a non-genotoxic threshold, PPAR $\alpha$ ,  
10                  motive action for liver tumors. Next slide please.

11                  Okay, so under the guidelines for  
12                  carcinogen risk assessments, we have preliminarily  
13                  concluded that DINP is not likely to be  
14                  carcinogenic to humans docipital levels that do  
15                  not result in PPAR $\alpha$  activation, so that's again,  
16                  key event one in the proposed mode of action, this  
17                  classification was based on the following way to  
18                  scientific evidence considerations. First, there's  
19                  no evidence for mutagenicity or genotoxicity of  
20                  DNIP. Further, much of the available data supports  
21                  a PPAR $\alpha$ motive action, with PPAR $\alpha$  activation being  
22                  observed in mice and rats at lower doses than the

1 dose of our liver tumors in mice and rats were  
2 observed. So given the weight of evidence  
3 supporting the non-genotoxic threshold, PPAR $\alpha$  mode  
4 of action. We have further concluded that the non-  
5 cancer chronic pod based on NOAEL of 15 milligrams  
6 per kilogram per gram based on non-cancer liver  
7 effects, will adequately account for all chronic  
8 toxicity, including carcinogenicity, which could  
9 potentially result from exposure to the DINP,  
10 because the lowest low AI and the lowest no al for  
11 puber health activation are 117 75 milligrams per  
12 kilogram per day respectively. Next slide please.

13 Okay, so just to recap, here  
14 quickly, we've preliminarily concluded that kidney  
15 tumors and male rats occur through a male rat  
16 specific of the 2u globulin motor action, while  
17 there is too much uncertainty associated with mncl  
18 and fissure rats to use for quantitative dose  
19 response assessment or cancer risk assessment,  
20 therefore we focused our cancer assessment on  
21 liver tumors overall. We've preliminarily  
22 concluded that there is strong evidence to support

1 a non-genotoxic threshold, PPAR $\alpha$  mode of action  
2 for liver tumors and rodents, concluded that DINP  
3 is not likely to be carcinogenic to humans at  
4 their simple levels that do not result in PPAR $\alpha$   
5 activation. Next slide, please.

6 With that, I'd like to thank  
7 everyone for their attention now we have some time  
8 in the agenda some questions from the panel.

9

10 **QUESTIONS FROM THE SACC ON EPA PRESENTATION**

11

12 **GEORGE P. COBB:** All right, thank  
13 you, Dr. Luz. Are there questions from the  
14 committee? Dr. David.

15 **DR. RAYMOND DAVID:** Hi, I have a  
16 question about the conclusion that DINP is not  
17 likely to be carcinogenic below dose levels that  
18 activate PPAR $\alpha$ , but there are certainly data to  
19 suggest that even if humanized PPAR $\alpha$  is activated,  
20 that there's not likely to be any downstream  
21 events that would lead to the development of  
22 cancer. So I'm just curious have the agency

1 considered those data and in their assessment. And  
2 what are your thoughts?

3 **DR. ANTHONY LUZ:** Hey, Dr. David,  
4 thanks for your question. Yeah, you know, I think  
5 the agency fully acknowledges that there are  
6 species differences in sensitivity. You know, we  
7 acknowledge that, you know, several other panels  
8 and workshops have been convened, you know, to try  
9 to, you know, answer that question. Just, just  
10 pose that, you know, these effects might occur in  
11 rodents, but maybe not in humans. You know, I  
12 think it's a, it's a really tough, tough question.  
13 I think there's still some scientific uncertainty.  
14 And those panels, you know, I think, you know,  
15 despite their efforts, you know, there's still not  
16 necessarily 100% scientific consensus related to  
17 this, this tumor type. It's kind of with that  
18 being the case, you know, I think looking across  
19 the available data and rodents, most of the data  
20 indicates a threshold mode of action, which,  
21 allows us to look at risk, you know, using a  
22 margin exposure approach. But I'll pause there. I



1 see our senior science advisor, Dr. Lowitz, come  
2 on, Karen, and see if she wants to add anything.

3 **DR. ANNA LOWITZ:** Yeah, thanks for  
4 the question. And Tony is right. We certainly  
5 acknowledge that there has been a lot of  
6 conversation about this in the public domain for a  
7 long time, and I would fully anticipate this panel  
8 adding to that conversation, just from a logistics  
9 and process point of view, to the extent that the  
10 panel is aware of DINP specific data that would  
11 make that link to the human P part that would be  
12 the most helpful to us. So the from a proximal  
13 proliferation PPAR $\alpha$ , point of view, to take the  
14 step to calling that mode of action not relevant  
15 to humans, if I use the jargon and the cancer the  
16 cancer guidelines is a larger conversation beyond  
17 DINP. It's a conversation that's relevant to our  
18 colleagues in the pesticide program, and our  
19 colleagues and the Office of Research and  
20 Development in the iris program, and from a  
21 practical standpoint, based on what you heard from  
22 Tony. Irrespective of whether or not the proximal

1 proliferation mode of action is human relevant or  
2 not human relevant, it actually doesn't change our  
3 assessment.

4 If the panel is in agreement that we  
5 have a nonlinear mode of action for the liver  
6 tumors and are in concurrence on Tony's  
7 conclusions on the on the other tumor types. The  
8 proposal that we have to use the liver end point  
9 would be maintained, irrespective of the PPAR $\alpha$ .  
10 That we would still be have a chronic point of  
11 departure that's protective of both cancer and  
12 non-cancer, irrespective of the human relevance of  
13 the proximal proliferation. So if you go back to  
14 what we heard from you hall this morning, and the  
15 resource constraints so we have on the program,  
16 but also the statutory deadlines that we have in  
17 the program. It's an analysis that's not entirely  
18 value added to the assessment.

19 If it were going to fundamentally  
20 change the assessment, it would be an analysis  
21 that would be value added. But in this case, given  
22 the points that you heard from Tony, if the panel

1 concurs with those. It doesn't have a meaningful  
2 impact on the assessment.

3 **DR. RAYMOND DAVID:**

4 I understand and I appreciate the  
5 fact that the for the agency to say not relevant  
6 for humans is a quantum step and requires a lot of  
7 information. I get that. So thank you for getting  
8 to the conclusion you did.

9 **DR. GEORGE COBB:** All right. Thank  
10 you. I see Dr. Ottinger has her hand next.

11 **DR. MARY OTTINGER:** I wanted to say I  
12 really appreciated what Dr. Luz just said about  
13 the nonlinear responses. And thank you very much  
14 for that one question I have. Well, I have two  
15 quick questions, hopefully. One is, Is there  
16 information about what the timing sensitivity is  
17 for the testicular effects to be exerted? Is it  
18 early, developmental or perinatal or what? And  
19 then the second question is, were thyroid or  
20 adrenal axes considered in any of the two Gen  
21 studies.

1                   **DR. ANTHONY LUZ:** This is Tony laws  
2 with EPA for your first question pertaining to the  
3 critical window development for those now  
4 reproductive effects. So the critical window is  
5 actually pretty well understood in rats and mice  
6 and to a lesser extent, humans. We've covered  
7 quite a bit in our previous cumulative proposal on  
8 phthalates. But for rats, it's, around gestational  
9 days 14 to 18.

10                   **DR. MARY OTTINGER:** Okay, post HPG  
11 axis formation. Okay, all right. And then, yeah,  
12 the thyroid, and I was just curious about other  
13 endocrine systems.

14                   **DR. LUZ:** Yeah, I'll have to double  
15 check I believe in that, that study, I mean, it  
16 was a guideline, so I believe that looked at, you  
17 know, the organ weights, and it should have looked  
18 at histopathology, but to confirm.

19                   **DR. OTTINGER:** Okay,

20                   **DR. GEORGE COBB:** Dr. Fenner-Crisp.

21                   **DR. FENNER-CRISP:** May we assume that  
22 Dr. Corten will be available for a conversation on

1 the day we take out the charge question on DINP,  
2 2d.

3 **DR. CHRIS CORTEN:** I can make myself  
4 available. Just let me know when.

5 **DR. FENNER-CRISP:** Hi, Chris, you

6 **DR. GEORGE COBB:** I have too many  
7 screens open. Thank you. I was saying, if there  
8 are no more questions, we can move to our next  
9 presentation by EPA, and it's going to be Dr.  
10 Brennan.

11 **DR. ANN LOWIT:** Dr. Cobb. Before you  
12 move on, I have a clarification about the question  
13 that Dr. Fenner-Crisp just asked to Chris Corten.  
14 I just want to make sure that we're within the  
15 FACA rules, that when you charge, start those  
16 charge questions, Will Dr. Corten be in a position  
17 to be answering her questions? Or I just want to  
18 make sure that we're in the bounds of the proper  
19 rules.

20 **DR. GEORGE COBB:** That's a good  
21 point. And let me see what Dr. Fenner-Crisp was  
22 intending there. And yeah, I should have, I should

1 have picked up on that actually, Dr. Fenner-Crisp,  
2 do you?

3 **DR. FENNER-CRISP:** Well during the  
4 course of our discussions, they're often I have  
5 observed interactions between the panel and the  
6 agency staff to get some clarification. And I just  
7 thought that in this particular case, it would be  
8 helpful if he were available in that kind of  
9 dialog, not outside the bonds of the ground rules,  
10 though.

11 **DR. GEORGE COBB:** Yeah, I think that  
12 at times we have made comments that this would be  
13 improved, or it would be helpful to know which  
14 data are used that are not necessarily part of  
15 these presentation.

16 **DR. FENNER-CRISP:** right? That's all  
17 I had in in mind.

18 **DR. GEORGE COBB:** So I think we can  
19 move to the EPA presentation now.

20  
21 **EPA TECHNICAL PRESENTATION 4**  
22

1                   **DR. JENNIFER BRENNAN:** Great, welcome  
2 to the last portion of this presentation for  
3 today. This is part four. Will be overviewing  
4 information on the DI DP environmental hazard and  
5 exposure, as well as the DI NP environmental  
6 hazard and the information pertaining to the  
7 charge questions for those portions of the  
8 assessment. Next slide please.

9                   So the DIDP environmental hazard and  
10 DIDP environmental exposure assessments have  
11 several charge questions related to them. For the  
12 environmental hazard portion, it's 2a and 2b the  
13 charge questions for the DIDP environmental  
14 exposure assessment are charge questions, 1c and  
15 1d and for the DINP environmental hazard, we have  
16 a single charge question. Charge question one.  
17 Next slide, please.

18                   I'll be starting off with the DIDP  
19 environmental hazard overview and the information  
20 related to those charge questions. This is  
21 JENNIFER BRENNAN with US EPA. So an overview of  
22 the map of the draft DIDP risk evaluation and the

1 portions that evaluation that are relevant to  
2 charge questions 2a and 2b. We have the  
3 environmental hazard assessment in the green box,  
4 and the information also relevant to the charge  
5 questions can also be found in the environmental  
6 risk characterization as well. Next slide please.

7 So overviewing the DIDP  
8 environmental hazard summary. EPA identified  
9 hazard data on fish, frogs and aquatic  
10 invertebrates and sediment invertebrates in that  
11 data set, no hazard was observed, and so no hazard  
12 thresholds are established for those taxa exposed  
13 to DIDP EPA was not able to identify reasonably  
14 available soil invertebrate data for hazard when  
15 exposed to DIDP. So EPA conducted a Read Across  
16 from DINP earthworm hazard data. Read lines of  
17 evidence form the basis for the read across so  
18 that was structural similarity between DIDP and  
19 DINP physical, chemical, environmental, fate and  
20 transport similarity between DIDP and DINP, as  
21 well as toxicological similarity between DIDP and  
22 DINP.



1                   No hazard data were reasonably  
2                   available for avian species and terrestrial plants  
3                   exposed to DIDP. EPA did establish a hazard  
4                   threshold in terrestrial mammals, and this hazard  
5                   threshold was based on ecologically relevant  
6                   endpoints from laboratory rat data, in lieu of not  
7                   having hazard data available for wildlife species.  
8                   Next slide please.

9                   Just to overview DIDP, environmental  
10                  hazard in other assessments. So both the European  
11                  Union risk assessment for DI ISO delight, as well  
12                  as the Environment Canada, Health Canada, State of  
13                  the Science Report, phthalates, substance  
14                  grouping, long chain phthalate esters, DIDP and  
15                  DINP. Both determined that DIDP had low hazard  
16                  potential to aquatic taxa with no adverse effects  
17                  on survival, growth, development or reproduction  
18                  at concentrations at or beyond solubility and  
19                  water. So this was very similar to what EPA  
20                  concluded in the draft DIDP environmental hazard  
21                  characterization for aquatic taxa. Next slide  
22                  please.

1                   So now we're moving into information  
2                   pertaining to charge question 2a. So this is the  
3                   DIDP hazard threshold in terrestrial mammals, and  
4                   the methodology used to establish that hazard  
5                   threshold for terrestrial mammals. So as mentioned  
6                   earlier, the DIDP hazard threshold in terrestrial  
7                   mammals was based on ecologically relevant  
8                   endpoints from animal toxicity data for DIDP,  
9                   these were rat laboratory studies containing  
10                  ecologically relevant endpoints. The hazard  
11                  threshold is called the toxicity reference value,  
12                  or TRV. This TRV represents hazard in mammalian  
13                  species, although it's derived from laboratory rat  
14                  data, the TRV is meant to be representative across  
15                  semi aquatic mammals such as the mink, or  
16                  representative insectivorous mammals such as the  
17                  shrew, so not just the rat, data from which it was  
18                  derived.

19                         The TRB derivation uses the  
20                         ecological soil screening level guidance by EPA.  
21                         This is known as eco SSL, guidance and the  
22                         endpoints considered for the DIDP TRV, included

1 reproduction, growth and survival endpoints. And  
2 briefly, and I'll go over in the next couple  
3 slides, more in depth on the methodology for TRV  
4 derivation. But briefly, the TRV derivation is  
5 conducted by comparing the low ALS in the data set  
6 to a geometric mean of the NOALS and for DIDP, the  
7 TRV was established as 128 mg per kg body weight  
8 per day DIDP. And again, that value would be  
9 representative across terrestrial mammals. Next  
10 slide please.

11 So this is a figure s6.1 from the  
12 DIDP draft environmental hazard technical support  
13 document. It's the terrestrial mammal TRV flow  
14 chart. I'll step through briefly how the DIDP TRV  
15 was established. You start with step one in your  
16 data set. So are there at least three toxicity  
17 values for two species for reproduction, growth or  
18 mortality? It's important to note, in the case of  
19 both the DIDP and the DINP TRV, which will be  
20 discussed later, strains of animals were counted  
21 as separate species for the purposes of the TRV  
22 derivation here. So for DIDP, yes, we had what

1 consisted of two or more species with those  
2 values. So going to step two, are there three or  
3 more NOALS in reproduction and growth for the DIDP  
4 data set, yes there were.

5 That brings us to step four, where  
6 you then calculate the geometric mean of NOALS  
7 across the reproductive and growth endpoints. You  
8 then compare the geometric mean of the no Al and  
9 find if it's lower than the lowest found at low al  
10 in the reproductive growth or mortality end  
11 points, and for DIDP data set, that was not the  
12 case. We actually had a lowest found at low al  
13 that was below the geometric mean of the NOALS. So  
14 in that case, the TRV then follows the no arrow to  
15 the yellow box, and the TRV is then set as the  
16 highest found at NOALS, below the lowest, found at  
17 low al for reproduction growth or mortality. Next  
18 slide please.

19 So this is looking at the laboratory  
20 rat data for DIDT that consisted of ecologically  
21 relevant endpoints across the reproduction, growth  
22 and survival categories, shown in the red, blue

1 and pink circles respectively. The open circles in  
2 the data set are low ALS for an endpoint, and  
3 they're connected by a line to their respective  
4 NOALS for a particular endpoint, the black line  
5 spanning the reproduction and growth endpoints  
6 with the arrows connected at each end of the black  
7 line that represents the geometric mean of the  
8 reproductive and growth endpoints of those no ALS.

9 So, as I was showing and explaining  
10 in the earlier Slide, if you look across the rat  
11 data set, here, you actually find that you have a  
12 bounded low al that's lower than the geometric  
13 mean of the no ALS. So in the in this case, the  
14 toxicity reference value then defaults to the  
15 highest bounded no al that's below that lowest  
16 found at low Al and the data set, and so that  
17 highest found at NOALS shown as that black circle  
18 with the blue circle inside. That value is 128  
19 make per KE body weight per day. This is done to  
20 refine the hazard threshold that's representative  
21 of the effects that you're looking at. It's also  
22 important to note that we EPA had enough data in

1 the animal toxicity data to work with that the  
2 data set is limited to bounded, NOAL, low AL  
3 pairs, and that decision was also made to refine  
4 the hazard threshold. Next slide, please.

5 So conclusions and key points for  
6 the information for pertaining to charge. Question  
7 2a no hazard threshold was established for most of  
8 the taxa, specifically fish, frogs, aquatic and  
9 sediment dwelling and vertebrates, and that was  
10 due to a picture of no effects in the reasonably  
11 available hazard data. A single hazard threshold  
12 was established for di DP environmental hazard,  
13 and that was consisting of terrestrial animal  
14 toxicity references that had growth, reproductive  
15 and mortality endpoints identified from  
16 laboratory. Rat data, and the hazard threshold is  
17 described as the toxicity reference value known as  
18 the TRV. This TRV is representative of hazard  
19 across terrestrial mammals, although it's derived  
20 from laboratory animal data. And to recap, no  
21 hazard data were reasonably available for avian

1 species and terrestrial plants exposed to DIDP.

2 Next slide please.

3 So now moving into the information  
4 pertaining to charge question 2b for DIDP. This is  
5 pertaining to the methodology used for the DIDP  
6 environmental hazard read across so, as mentioned  
7 earlier, no reasonably available data were  
8 obtained for soil invertebrates exposed to DIDP.  
9 EPA conducted a read across using soil  
10 invertebrate hazard data from the DINP data set,  
11 and this was a no effect hazard that was read  
12 across to DIDP and three lines of evidence fed  
13 into the basis for the read across and that is  
14 structural similarity between DIDP and DINP,  
15 physical, chemical, environmental, fate and  
16 transport similarity between DIDP and DINP, as  
17 well as eco toxicological similarity between DIDP  
18 and DINP. Next slide.

19 So for the first line of evidence,  
20 which is the structural similarity, EPA conducted  
21 a structural similarity analysis between DIDP and  
22 analog DINP, and this was done using several

1 programs. So analog identification methodology,  
2 OECD, qstart toolbox, where PubChem fingerprints  
3 were generated using the similar analysis, and  
4 then Chem informatics search module, where the  
5 tanamoto index was used to make that comparison.  
6 If we look at the first row in the table where  
7 DIDP is being compared to itself in a structural  
8 similarity analysis, you can see an aim.

9                   It's an exact match to itself, and  
10 it receives a score of one, which is the maximum  
11 score possible for both the PubChem fingerprints  
12 as well as the tanamoto index in the kind of  
13 informatics search module. So both of those scores  
14 for the PubChem fingerprints and tanamoto index  
15 are on a scale of zero to one. In the second row,  
16 where di NP is compared to di DP in these  
17 programs, it's a first pass analog and aim which  
18 is the highest pass, indicating high structural  
19 similarity between the two chemicals. And it  
20 received a score of one for the PubChem  
21 fingerprints, which again is a maximum score, as  
22 well as a score of one for the tanamoto index



1 using the Chem informatics search module. So high  
2 degree of structural similarity is indicated  
3 across several different programs, between DIDP  
4 and DINP. Next slide, please.

5 The second line of evidence that was  
6 used in the Read Across for the analog selection  
7 was comparing the physical and chemical fate  
8 properties between D and analog DINP, and because  
9 this is a soil and vertebrate hazard read across  
10 the physical, chemical and environmental fate  
11 properties were pertinent to the chemicals  
12 behavior and soil as in addition, the hazard study  
13 also took place over 28 to 56 days. So the  
14 properties also addressed behavior in soil over  
15 time as well. So if you look at the first column  
16 with some of the physical, chemical and  
17 environmental fate properties, and then you look  
18 across that DIDP.

19 For water solubility and the log  
20 optimal water partition coefficient, we see a  
21 picture of highly insoluble chemicals that have a  
22 high preference to partitioning to the optimal

1 phase for log, organic carbon water partition  
2 coefficient also is very high for both DIDP and  
3 NP, so both are going to soar very strongly to  
4 soils and sediments. Biodegradation in the aerobic  
5 portion of the soil happens over a matter of weeks  
6 to months for both DIDP and DINP in the anaerobic  
7 portion of the soil, we expect minimal to no  
8 biodegradation for both DIBP and DIP.

9 Bioaccumulation factor and earthworm  
10 is identical between the two chemicals, with very  
11 low bioaccumulation potential for both DIBP and  
12 DINP and earthworm very low vapor pressure, we do  
13 not expect either of these to volatilize out of  
14 the soil. Molecular weight is very similar between  
15 the two, which speaks to ability to cross cell  
16 membranes and both exist as clear liquids at room  
17 temperature. So for both DIDP and DINP, we would  
18 expect these to behave very similarly in a soil  
19 environment. Next slide, please.

20 The third line of evidence that fed  
21 into the Read Across for selecting DINP as an  
22 analog is the Eco toxicological similarity,

1 because we don't have soil invertebrate hazard to  
2 directly compare DIDP and DINP. If we did, we  
3 likely wouldn't be proposing a read across what we  
4 do have to compare their eco toxicological  
5 similarity is hazard in related taxa. So the focus  
6 of this slide is showing hazard of both DIDP and  
7 DINP in sediment dwelling invertebrates as well as  
8 invertebrates in the water column. So each row has  
9 a species exposed to both DIDP and DINP within the  
10 same study. And if you look down the rows, what  
11 you largely see is a picture of no hazardous  
12 effects from exposure to both DIDP as well as  
13 exposure to DINP in either the sediment dwelling  
14 or the water column invertebrates.

15 The one exception is the daphnia  
16 Magna 21 day exposure, where entrapment values  
17 were noted in the form of a chronic value for both  
18 DIDP and DINP, but the authors noted in this study  
19 that this was due to a physical film at the top of  
20 the water in the daphnia Magna being trapped in  
21 that film, rather than the chemical being  
22 solubilized in the water and causing chemical

1 toxicity. So very similar hazard profile for both  
2 DIVP and DINP in taxa that could be relevant for  
3 earthworm toxicity. And so EPA felt very  
4 confident. Then in the last row, conducting a read  
5 across based on a no hazardous effect from di MP  
6 to DIDP with support from the other two lines of  
7 evidence as well and analog selection. Next slide,  
8 please.

9 So conclusion and key points, di NP,  
10 soil invertebrate hazard data was used in a read  
11 across to di DP. And DIDP and DINP exhibit the  
12 following similarities, supporting that selection  
13 of di MP as an analog for di DP, again, structural  
14 similarity between DIDP and DIP, physical,  
15 chemical, environmental fate and transport  
16 similarity between DIDP and DINP, as well as eco  
17 toxicological similarity between DIDP and DINP.  
18 And very interested in the sax input on both  
19 charge questions to a and to be for the DI DP  
20 environmental hazard. Next slide, please.

21 With this, I'm going to hand this  
22 over to my colleague, Dr. Christopher Green.

1                   **DR. CHRISTOPHER GREEN:** Hello, I'm  
2 Dr. Christopher Green with OPPT's existing  
3 chemical.

4                   **DR. GEORGE COBB:** Can you give us a  
5 second? It looks like Dr. David had a question.

6                   **DR. RAYMOND DAVID:** Yeah, I was, I  
7 was hoping to get in a question before we move on  
8 to the next phase of the questions, and it has to  
9 do with slide number 96.

10                  **DR. CHRISTOPHER GREEN:** So thank you.  
11 Dr. David just like we did with the other  
12 presentations earlier today. Would you Would it be  
13 acceptable for us to continue with the next few  
14 components and then tally that? Is that acceptable  
15 to people?

16                  **DR. RAYMOND DAVID:** That's fine, if  
17 you'd rather do it that way. I just yep, that's  
18 fine.

19                  **DR. CHRISTOPHER GREEN:** Yeah? Okay.  
20 Thank you very much. I apologize. Yeah. So thank  
21 you for asking, but we'll keep going, and then,  
22 obviously utilizing the slide numbers that are on

1 the lower portion of the screen will be very  
2 helpful to get back to that. So thank you very  
3 much. Dr. David and others for letting us go on  
4 and then we'll hear this really good input. My  
5 name is Dr. Christopher Green. I'm with the OPPT's  
6 existing chemical risk assessment division as a  
7 biologist here in EPA. I'm going to be addressing  
8 the environmental exposure technical support  
9 document for DIDP. Next slide, please.

10 So this technical support document  
11 and the reference documents are listed on the  
12 screen here. We have two targeted charge questions  
13 to address certain things associated with this  
14 presentation. Charge question 1c centering towards  
15 the environmental exposure analysis, and question  
16 1d which integrates the weight of scientific  
17 evidence and conclusions associated with this  
18 section and the components related to it. Diagram,  
19 which you guys have been seeing throughout the day  
20 demonstrates that we are in the middle green  
21 square the lower portion of the screen for

1 environmental exposure analysis. Next slide,  
2 please.

3 So when we look at the landscape of  
4 evidence that's presented within the physical,  
5 chemical and fate technical support document, as  
6 well as reviewed and referenced within the  
7 environmental exposure technical support document,  
8 we're presented with a large body of evidence  
9 indicating that DIDB does not bio magnify. It can  
10 be found in tissues, but it does not bio  
11 magnifying, bio accumulate in terms of  
12 bioaccumulative substances. However, as we heard  
13 earlier today and throughout reading of this  
14 document, we understand that dietary exposure can  
15 still occur, and we want to represent that dietary  
16 exposure to the fullest in terms of a screening  
17 level trophic transfer analysis for the protection  
18 of a variety of our different animals in our  
19 ecosystems.

20 To do this, we looked at a couple of  
21 different scenarios where we looked at the  
22 pathways of surface water releases into sediment,

1 as well as air deposition into soil. For a  
2 representative mammal for this aquatic oriented  
3 screening level analysis, we're looking at the  
4 American mink as a piscivorous aquatic mammal and  
5 in the soil component. To really take, you know,  
6 the best picture of animals taking in soil in  
7 their diet and then eating other animals that have  
8 soil in their diet. We're looking at the  
9 connections between soil, earthworm and shrew as a  
10 insectivorous mammal. The way we did this is we  
11 calculated dietary exposure estimates.

12 And there were two pathways  
13 represented within the environmental exposure  
14 technical support document. The first one was we  
15 utilized the highest releasing cou that you know,  
16 led us to the highest model concentrations of DIDP  
17 within the sediment and soil. We also within the  
18 landscape of our literature for environmental  
19 monitoring. We're representing the highest  
20 reported DIDP concentrations in soil and sediment  
21 within the literature, and these are characterized  
22 as industrialized sites, sites that have been



1 studied for, other chemicals, as well as the DIDP  
2 specifically.

3 In our screening level analysis, we  
4 looked at those dietary exposure estimates, and  
5 due to our hazard values that Dr. Brennan just  
6 demonstrated, we looked at the toxicity reference  
7 value with those mammals in comparison to those  
8 dietary exposure estimates for either a  
9 representative aquatic individual or our  
10 terrestrial mammal, comparing that 128 milligrams  
11 per kilogram body weight per day. Next slide  
12 please.

13 So this is figure five, one from  
14 our DIDP draft environmental exposure technical  
15 support document. And I really want to emphasize  
16 that the goal of these representative species is  
17 to look at the maximal potential uptake of the  
18 compound, and specifically. We're looking at the  
19 role of this compound in soil and the role of this  
20 compound in in sediment, predominantly because of  
21 our information and our modeling, as well as the  
22 environmental exposure analysis. On the left hand

1 side of the screen, you'll see the earthworms  
2 represented as a soil invertebrate. Think of those  
3 as kind of a running a sock through soil, and so  
4 we looked at their concentration in their body as  
5 being the concentration that was projected or  
6 modeled within soil that earthworms then consumed  
7 by a shrew in the aquatic environment. Wanted to  
8 represent the animals that would have a lot of  
9 sediment from their natural history. And my  
10 background as a fish biologist, in addition to a  
11 few other things, led me to utilizing a custom  
12 species. This is a black tail Red Horse. Suckers  
13 and catfish take in a lot of sediment as a  
14 component of their diet when they're rooting  
15 around the benthopes and eating and so I wanted to  
16 really represent that as a screening level and  
17 highly conservative approach to show who is  
18 getting the most sediment in that could get that  
19 in their body and then potentially be eaten by  
20 something else. These conservative exposure  
21 factors are really integral to the screening level  
22 approach, and I wanted to represent that as

1 closely and cautiously as possible. Next slide,  
2 please.

3                   These equations are from the EPA  
4 ecoSSL, which Dr. Brennan talked about a few  
5 slides ago. They're adapted from that, and they're  
6 representing a variety of exposure factors, and  
7 they're representative of the screening level  
8 approaches that EPA took for this assessment. The  
9 terms represented within these equations are  
10 intended to be very conservative. So for example,  
11 this is not assuming any in vivo metabolism or  
12 excretion the absorbed fraction of the contaminate  
13 from the soil or sediment into the organism. Say,  
14 for example,  $af_{si}$ ,  $af$ ,  $wi$ , and  $af_{ij}$ , for  
15 sediment, water and prey were all set to one. We  
16 also utilized one as an area use factor,  
17 indicating that all the biota that are included in  
18 the different connections from soil to animal to  
19 animal are all residing within an area that has  
20 DIBP present. So the exposure factors for things  
21 like feed intake rate, water intake rate were  
22 derived from the EPA's Wildlife Exposures Factors

1 Handbook, while factors associated for the  
2 representative fish or the Catastomid species were  
3 derived from published literature. Next slide,  
4 please.

5 So in this slide, this is Table 5-4.  
6 It's an example of the calculations and the work  
7 to represent DIDP concentration from a variety of  
8 different sources for the screening level of  
9 tropic transfer analysis. The top column  
10 represents the CO use, the highest amount of  
11 sediment from point source calculator with PVC  
12 plastic compounding as the occupational exposure  
13 scenario. The next columns then represent the  
14 concentration ingested from sediment,  
15 concentration taken in from the mink from water  
16 intake, and finally the total, the fish  
17 concentration from consuming fishes that have that  
18 amount in their body from incidental or other  
19 sources. And then finally, the DIDP exposure for  
20 that mink in milligrams per kilogram by weight per  
21 day.

1                   Now, in the lower portions of Figure  
2                   5-4, it represents the highest concentrations in  
3                   industrialized ecosystems and aquatic areas that  
4                   we know of around the world to represent high  
5                   concentrations of DIDP in the sediment. I think  
6                   this is very ecologically relevant. It is  
7                   demonstrating what we know, what we have  
8                   environmental monitoring for. And when we go  
9                   across those formulas that I showed and  
10                  demonstrate, you can see that both from the  
11                  environmental monitoring on the lower portion of  
12                  the slide as well as our COU, those modeled  
13                  sediment concentrations, we do not approach the  
14                  DIDP toxicity reference value of hundred twenty  
15                  eight milligrams per kilogram per day. So it's  
16                  just a representation. There are several  
17                  components to this, but I think for the aquatic  
18                  mammal as well as the other slides in here, we'll  
19                  be able to see what we have observed with respect  
20                  to projecting the modeled concentrations as well  
21                  as environmentally relevant concentrations within  
22                  sediment and soil. Next slide.

1                   So for conclusions and key points  
2                   with this, the results for the calculated dietary  
3                   exposures of DIDP to mammals from those modeled  
4                   concentrations within the relevant pathways,  
5                   within the aquatic environment, within the  
6                   terrestrial environment, indicate exposure  
7                   concentrations below the toxicity reference value.  
8                   The maximum concentrations of DIDP reported within  
9                   the reasonably available literature were also used  
10                  as a comparator and describe no intersection of  
11                  exposure of DIDP with the calculated toxicity  
12                  reference value from the screening level transfer  
13                  analysis. In a similar way, the level transfer  
14                  analysis for the terrestrial components, those  
15                  earthworm to shrew from soil concentrations also  
16                  demonstrate dietary exposure concentrations below  
17                  that toxicity reference value.

18                  It's really important to emphasize  
19                  that these conservative approaches within both the  
20                  environmental media modeling and the screening  
21                  level trophic transfer analysis are likely over-  
22                  representing DIDP's ability to transfer among the

1 trophic levels and this confidence that the risks  
2 will not be, or would not be, would not be  
3 underestimated. Next slide.

4 **DR. GEORGE COBB:** Right, I think  
5 that ends this portion of the, does that end this  
6 portion of the presentation or are we going back  
7 to Dr. Brennan?

8 **DR. JENNIFER BRENNAN:** Hi, this is  
9 Jennifer. No, we have probably seven more slides  
10 here and then that concludes the presentation.

11 **DR. GEORGE COBB:** All good.

12 **DR. JENNIFER BRENNAN:** So I'll go  
13 ahead and get going with this portion, which is  
14 our last slide. So this is the DINP environmental  
15 hazard overview, a summary of the environmental  
16 hazard characterization and then the information  
17 pertaining to the charge question for this  
18 portion. Next slide, please.

19 So there's a single charge question  
20 for the DINP environmental hazard. If you look at  
21 the diagram, the portion of the assessment that's  
22 relevant is highlighted in green, so that we're

1 talking about the environmental hazard assessment  
2 for DINP. Next slide.

3 So an overview of the DINP  
4 environmental hazard. Again, EPA was able to  
5 identify hazard data for algae, for earthworm, for  
6 aquatic invertebrates, and sediment invertebrates,  
7 and those data indicated no hazardous effects.  
8 Therefore, no hazard was observed for those taxa  
9 exposed to DINP. No hazard data were available for  
10 avian species or terrestrial plants exposed to  
11 DINP. Hazard data were available for fish. Several  
12 papers did indicate hazardous effects, but these  
13 effects were either not consistent between  
14 replicates or did not behave in a logical dose  
15 response dependent manner, or there were  
16 experimental design concerns with the study.

17 Therefore, no hazard threshold was  
18 identified in fish in exposures to DINP. So no  
19 hazard thresholds were established for the above  
20 taxa. EPA did establish a hazard threshold for  
21 terrestrial mammals based on ecologically relevant  
22 endpoints from animal toxicity data, both rat and



1 mouse laboratory data. And again, that was in lieu  
2 of not having reasonably available wildlife hazard  
3 data for DINP. Next slide, please.

4 Just an overview of DINP  
5 environmental hazard and other assessments. Both  
6 the European Union Risk Assessment for Dye  
7 Isonomal Phthalate and Environmental Canada Health  
8 Canada's State of the Science Report, Phthalate  
9 Substance Grouping, Wrong Chain Phthalate Esters,  
10 DIDP, and DINP both determined that DINP has low  
11 hazard potential to aquatic taxa with no adverse  
12 effects on survival, growth, development, or  
13 reproduction at concentrations at and beyond  
14 solubility of water. So again, very similar to the  
15 hazard characterization conclusions in the draft  
16 DINP environmental hazard technical support  
17 document for aquatic taxa. Next slide, please.

18 So now the information pertaining to  
19 charge question one. This charge question I want  
20 to note is identical to charge question 2A for  
21 DIDP. The difference is that we're asking it for  
22 DINP as well. So this is the methodology used to

1 establish the hazard threshold for terrestrial  
2 mammals. So DINP hazard threshold and terrestrial  
3 mammals was based on ecologically relevant  
4 endpoints from the animal toxicity data. These  
5 were rat and mouse laboratory studies, again in  
6 lieu of having wildlife hazard data for DINP. The  
7 hazard threshold is called the toxicity reference  
8 value TRV. Again, the TRV represents hazard across  
9 mammalian species such as mink and shrew, not just  
10 the rat and mouse data from which it were derived.  
11 And this derivation process for the TRV uses the  
12 ecological soil screening level guidance by EPA.  
13 This is known as ECOSL guidance.

14 And the endpoints considered for the  
15 DINP TRV consisted of reproduction, growth, and  
16 mortality endpoints. The methodology used to  
17 derive the DINP TRV is the same as was explained  
18 for the DIDP TRV. Briefly, it's a comparison of  
19 low ALs in the data set to a geometric mean of the  
20 no ALs. In the case of the DINP TRV, the value was  
21 established as hundred thirty-nine mg per kg  
22 body weight per day of DINP. Next slide, please.

1                   This is Figure 6-2 from the DINP  
2 draft environmental hazard technical support  
3 document. We saw this earlier for DIDP. This is  
4 the TRV flowchart. I'll step through very briefly  
5 the steps for deriving the DINP TRV. This followed  
6 the identical steps that the DIDP TRV followed.

7                   So starting with step one, are there  
8 at least three toxicity values for two species for  
9 reproduction, growth, or mortality? In the case of  
10 the DINP data set, yes, there were. Step two, are  
11 there three or more no ALs in reproduction and  
12 growth? Yes. And so this leads us to step four,  
13 calculate the geometric mean of the no ALs for  
14 reproduction and growth, and then compare that  
15 geometric mean of the no ALs to the lowest bounded  
16 low AL for reproduction, growth, or mortality. In  
17 the case of DINP, there was a lower bounded low AL  
18 that was lower than the geometric mean of the no  
19 ALs. So the no arrow is followed to the yellow  
20 box, and the TRV is set as the highest bounded no  
21 AL below the lowest bounded low AL for

1 reproduction, growth, or mortality with each step  
2 refining the hazard threshold. Next slide, please.

3 We're looking at the data set for  
4 the DINP animal toxicity studies that contain  
5 ecologically relevant endpoints that were used in  
6 the TRV derivation. Again, we have our  
7 reproduction endpoints, growth endpoints, and  
8 survival endpoints, represented by the red, blue,  
9 and pink circles respectively. Open circles are  
10 indicating low ALs for an endpoint, and they're  
11 connected by lines to their respective no ALs.

12 Again, DINP had enough animal  
13 toxicity data with ecologically relevant endpoints  
14 that EPA limited the data set to bound no AL low  
15 AL pairs to further refine the hazard threshold.  
16 The black line with spanning reproduction and  
17 growth that have arrows at each end of the line  
18 represents the geometric mean of the no ALs across  
19 the reproduction and growth endpoints.

20 And if you look across the data set,  
21 there are low ALs, bounded low ALs that are  
22 falling below the geometric mean of the no ALs. So

1 then the TRV defaults to the highest bounded no  
2 AL, excuse me, highest bounded no AL below the  
3 lowest bounded low AL. And that highest bounded  
4 low AL is shown by the black circle with the red  
5 circle in the middle to refine the hazard  
6 threshold. And that TRV value again is 139 make  
7 per king body weight per day, DINP. Next slide,  
8 please.

9 Conclusion and key points. No hazard  
10 threshold were established for most taxa that we  
11 had data for due to no effects from DINP exposure.  
12 Again, EPA wants to note that there were  
13 inconsistencies in the fish hazard data from  
14 chronic exposures to DINP. And these  
15 inconsistencies resulted in no hazard threshold  
16 being established for fish. A single hazard  
17 threshold was established for DINP environmental  
18 hazard.

19 This was based on terrestrial animal  
20 toxicity references that had growth, reproductive,  
21 and mortality endpoints in rat and mice studies.  
22 The hazard threshold is described as the toxicity

1 reference value or TRV and is meant to be  
2 representative across terrestrial mammals, not  
3 just rats and mouse from which the data were  
4 derived. The no hazard data were reasonably  
5 available for avian species and terrestrial plants  
6 exposed to DINP. And just to note again, the DINP  
7 draft environmental exposure and draft  
8 environmental risk characterization documents will  
9 be released later this year for public comment.  
10 And that concludes the information pertaining to  
11 charge question one for the DINP environmental  
12 hazard technical support document. Next slide,  
13 please.

14 And this concludes the part four of  
15 the technical presentation. So thank you for your  
16 attention and review.

17  
18 **QUESTIONS FROM THE SACC ON EPA PRESENTATION**

19  
20 **DR. GEORGE COBB:** All right. Thank  
21 you, Dr. Brennan and Dr. Green for that  
22 presentation. We can go to questions now. And I

1 believe Dr. David had asked one earlier. So let's  
2 circle back to that. We can't.

3 **DR. RAYMOND DAVID:** Yes, thank you.  
4 Actually, the question pertains not only to slide  
5 number 96, but also to 116. And I was just struck  
6 by the number of studies. I mean, effect levels,  
7 no effect levels are always dependent on the dose  
8 levels that are selected. My experience is that  
9 when you have a number of these studies with  
10 different spread of dose levels, I thought it was  
11 common practice to use benchmark dose  
12 calculations. But you didn't seem to do that. Is  
13 there a reason you didn't do that?

14 **DR. JENNIFER BRENNAN:** Thank you for  
15 the question, Dr. David. So the TRV derivation  
16 process does not use benchmark dose process, but  
17 it uses a similar process in that it is refining  
18 the hazard threshold to be representative of the  
19 entire data set. So the first step is when you do  
20 calculate that geometric mean of the NOAEL s  
21 across reproduction and growth endpoints, which  
22 are typically considered to be more sensitive than

1 mortality endpoints, although you see in this data  
2 set that's not necessarily the case, that's  
3 typically where the TRV defaults to. But if you  
4 are showing hazardous effects or more sensitivity  
5 in certain endpoints that's below that geometric  
6 mean, the threshold then refines even further  
7 between that low AL that's below the geometric  
8 mean of the NOAEL and the NOAEL that's closest in  
9 value to that low AL.

10 **DR. RAYMOND DAVID:** Well, that's OK,  
11 but I mean, as I look at these studies on the left  
12 here, the RAT studies, and these are developmental  
13 studies, is that correct?

14 **UNKNOWN MALE:** Yes, they're repro  
15 studies.

16 **DR. RAYMOND DAVID:** So you've got  
17 four studies that have a nice spread and no effect  
18 levels that are above your line, and then one that  
19 falls below. And so, you know, stuff happens. And  
20 yet you've based your TRV apparently on that  
21 lowest value. Is that correct?



1                   **DR. JENNIFER BRENNAN:** The TRV  
2 actually is not based on the lowest NOAEL. It's  
3 based on the NOAEL that is closest in value below  
4 the lowest low AL in the data set. So if you're  
5 looking at data point eight, that is where the TRV  
6 is established. We do have NOAEL s that are lower  
7 than that, but the goal for this method is to  
8 refine the hazard threshold instead of increasing  
9 the spread of where the hazard should be  
10 occurring.

11                   Yeah, and Dr. David, part of the  
12 reason for that is that you're dealing with  
13 protecting multiple species and not simply a  
14 human, that human species were one species. So  
15 this is trying to protect across all taxa, if  
16 that's correct, Dr. Brennan. And so you have to  
17 account for that.

18                   **DR. RAYMOND DAVID:** Well, don't you  
19 do that in the uncertainty factors? So, and again,  
20 this is the practice that had been developed to  
21 empirically determine to protect species that are

1 trying to be managed through these kinds of  
2 assessments.

3 **UNKNOWN MALE:** Okay. Thank you.

4 **DR. RAYMOND DAVID:** I should probably  
5 let an EPA answer these questions. I apologize,  
6 Dr. Brennan.

7 **DR. JENNIFER BRENNAN:** No, Dr. Cobb,  
8 that was fantastic. Thank you.

9 **DR. GEORGE COBB:** I see Dr.  
10 Chaisson has a question.

11 **DR. CHRISTINA CHAISSON:** Thank you.  
12 Yes, I actually have a couple and I'm really out  
13 of my wheelhouse here, so I hope you can put these  
14 together. I'd like to quickly read a couple of  
15 questions slash notes that I took and maybe EPA  
16 could address these all together. First of all,  
17 looking at the KOW and the KOC, and you concluded  
18 from that that the phthalates would go into the  
19 sediment, but given the KOW and KOC, wouldn't it  
20 be just as likely?

21 The phthalates would sort into  
22 things like aquatic eggs or the biota, not just

1 the soil, but just go into them directly. Related  
2 to that, it appears that the phthalates are  
3 clearly, apparently not particularly toxic. Is  
4 that because it's going through the animals or you  
5 made a comment I didn't understand.

6 It does not bioaccumulate, but  
7 somehow it's in there. I don't understand what  
8 that means. And also, does any of this relate to  
9 bivalves? So you've got things like the Jeesapeake  
10 oysters sucking an awful lot of stuff through  
11 them. I mean, what happens to animals like that  
12 that are taking in what could be, as I understand  
13 it, huge amounts of the water? And particularly if  
14 you have animals that are in. I mean, your example  
15 showed this really nice swimming pool kind of  
16 thing over top of sediment.

17 What about waters that are murky or  
18 swamps? How does that kind of thing fit into this?  
19 Now, I have to admit, what I'm going for here is  
20 try to take this lovely information that you have  
21 here and in my mind, try to determine if you are  
22 looking at human exposure. All of these lovely

1 things that people, particularly who are on  
2 subsistence diets, are going to be eating or  
3 people who live along the coastal regions. And I'm  
4 struggling to try to even get an indication as to  
5 whether this stuff just passes through these  
6 animals or whether it could be found in their  
7 tissues.

8 **UNKNOWN MALE:** Thank you. Yes, I  
9 think you raised. Thank you very much for that  
10 input. I think you raised some really good things.  
11 I want to point towards... There is a body of  
12 literature on the presence of DIDP and a number of  
13 phthalates within biota, within gooey ducks,  
14 within mussels, within spiny dogfish, etc. Those  
15 are McKinsey 2004. They're in Section 3.1 of the  
16 Environmental Exposure Technical Support Document.  
17 That's really important. There are lipid  
18 normalized and then wet weight reported values  
19 across the literature. There's also a thesis from  
20 the same research group that worked on this within  
21 British Columbia in an industrialized ecosystem up

1 there. So we do see this within tissues. I do  
2 report it. We note it.

3 One of the things that's important  
4 is that the McKinsey group has gone to great  
5 lengths with some of our leading scientists in  
6 bioaccumulation and trophic transfer, like on a  
7 global kind of folks, on trophic magnification  
8 factors. And those are also reported within  
9 Section 3.1. There's bioavailability. There's the  
10 intersection of physical chemical properties and  
11 the role of DIDP within suspended sediments.  
12 That's discussed in Section 3.2 in the same  
13 technical support document. And they really have  
14 looked at how a lot of those compounds are bound  
15 very tightly to those. And even within the animal,  
16 the transference of those are extremely limited  
17 compared to a lot of other compounds.

18 So again, 3.1 and 3.2 will answer a  
19 lot of those questions, hopefully. And I think  
20 that those are really revealing for me. They're  
21 really revealing for the team and all of us at EPA  
22 to help us with that. And that landscape and

1 literature is really important to this. So  
2 hopefully I helped with that answer. If there's a  
3 follow-up, let me know. But yeah, check out those  
4 sections and obviously I can contribute and help  
5 as much as possible with any other things.

6 **DR. CHRISTINA CHAISSON:** Can you  
7 spoil the ending of the movie for me and just tell  
8 me what the answer is? Does it go right through  
9 them or do you get significant any kind of  
10 accumulation or at least occurrence of it getting  
11 into the tissue?

12 **EPA PRESENTER:** No, it shows up in  
13 tissues, but it does not bioaccumulate. A lot of  
14 the comparisons with things that are  
15 bioaccumulating and magnifying, we're not seeing  
16 that. We see it in the body. It will be there, but  
17 it's not building. It's not growing and for  
18 example, trophic magnification factor for DIDP  
19 from a very well regarded study is 0.44, which,  
20 you know, when we think of one as building under  
21 one is not building, that makes me feel pretty  
22 good about it, not bioaccumulating. And then

1 obviously the things you saw in the screening  
2 level approaches really trying to ramp up all  
3 those conservative assumptions.

4 **DR. CHRISTINA CHAISSON:** And then  
5 what about bivalves and things like that?

6 **EPA PRESENTER:** Those were included  
7 in that trophic analysis by McKinsey. It's in  
8 section 3.1. They looked at phytoplankton, gooey  
9 ducks, mussels. They had a bird in there. They had  
10 a scooter. I didn't even know that was a name of a  
11 bird, but it is. They had a variety. They actually  
12 had herbivorous fishes and piscivorous fishes in  
13 there as well. They had pyphosids in there, I  
14 believe. So that's important.

15 **DR. CHRISTINA CHAISSON:** And they  
16 don't get very high levels in their tissues  
17 either?

18 **EPA PRESENTER:** They were seeing  
19 DIDP concentrations, but it was not building as  
20 you go from trophic level to trophic level.

21 **DR. CHRISTINA CHAISSON:** Okay. Thanks  
22 very much for that. Appreciate it.

1                   **EPA PRESENTER:** Well, thank you.

2                   That was really good. Thanks.

3                   **DR. GEORGE COBB:** So just to follow  
4                   up. So I agree with what you're saying, Dr. Green,  
5                   about the trophic transport and about not  
6                   biomagnifying. I do want to make a point of  
7                   clarification, and perhaps it's my perspective on  
8                   this, but the McKinsey and Gobus studies in  
9                   Vancouver are not in industrialized areas. They're  
10                  in urban areas, but they're not in industrialized  
11                  areas. And that is an important distinction. And  
12                  if there's information to say otherwise, I'd be  
13                  happy to hear it, but I looked at the maps, the  
14                  satellite photos, and that's not an industrialized  
15                  area.

16                  **EPA PRESENTER:** I apologize for  
17                  that. There is a Taiwan study that I got that  
18                  sediment values were, which was industrialized.  
19                  And you're absolutely correct. They refer to it as  
20                  urbanized ecosystem. Is that correct?

21                  **DR. GEORGE COBB:**            Correct.



1                   **EPA PRESENTER:** I apologize for  
2                   that. I apologize for that.

3                   **DR. GEORGE COBB:**       We're all  
4                   dealing with a lot of information here. Mary Ann,  
5                   I'm sorry. Since you mentioned the study in  
6                   Taiwan, I want to kind of follow up. Was that  
7                   study included in the aquatic hazard assessment  
8                   for invertebrates that was done?

9                   **EPA PRESENTER:** So can you put the  
10                  Taiwan, sorry, I got distracted for a second. The  
11                  Taiwan study provided concentrations across the  
12                  harbor for DIDP and sediment. They did not, if I  
13                  recall correctly, have biota monitoring values in  
14                  that study.

15                  **DR. GEORGE COBB:**       Correct. But you  
16                  could use those in assessing hazards or risk to  
17                  aquatic organisms based on toxicities from  
18                  laboratory studies. I guess that's what I'm trying  
19                  to get to.

20                  **EPA PRESENTER:** Yes, and I ran those  
21                  concentrations from that study in our screening  
22                  level terrific transfer analysis.

1 DR. GEORGE COBB: Okay.

2 EPA PRESENTER: Thank you.

3 DR. GEORGE COBB: Dr. Ottinger.

4 Dr. MARY ANN OTTINGER: Cool

5 conversation. Thank you. By the way, scoters are  
6 not at the top of the food chain. So they're not  
7 going to be that representative of  
8 biomagnification, just FYI. But that does bring me  
9 to the point that is there any potential for using  
10 egg embryo studies where they're administering  
11 many of these chemicals or even some of the  
12 plastics works that's coming out in birds showing  
13 uptake of lots of microplastics?

14 EPA PRESENTER: I thank you for that  
15 question. I don't think I can completely address  
16 that. I do know, however, when you look into the  
17 thesis work from the McKinsey Group McConnell,  
18 they did specifically look at dogfish embryos. And  
19 one of their components was DIDP across not only  
20 the dogfish, but the embryos. And as you know, the  
21 egg yolk associated with that really increased  
22 that value. Your question about microplastics is

1 noted. And I'd like to hear more. But yeah, thank  
2 you very much.

3 **Dr. MARY ANN OTTINGER:** A question  
4 about the mink. I think that's a fine choice. And  
5 I guess one of my questions would be from Steve  
6 Bercyan and Bob Ringer's work, they showed them to  
7 be exquisitely sensitive. So was that part of the  
8 rationale with choosing them?

9 **EPA PRESENTER:** The rationale for  
10 choosing the mink is predominantly that  
11 piscivorous diet. And maximizing the intake of the  
12 screening level intake of the fish into that. And  
13 then keep in mind that the TRV was representing  
14 the hazard value in the mink, not an actual mink  
15 hazard value study.

16 **Dr. MARY ANN OTTINGER:** Yeah, but  
17 part of that hazard or the risk, I guess, would be  
18 production of the lesions and other outcomes that  
19 Ringer and Bercyan and others saw with PCBs. So  
20 does that become incorporated into the model?

21 **EPA PRESENTER:** I'll transfer over  
22 to Dr. Brennan. Do you want to answer that?

1                   **DR. JENNIFER BRENNAN:** Okay. Give me  
2 just a second.

3                   **DR. GEORGE COBB:**       Do we have Dr.  
4 Brennan?

5                   **DR. JENNIFER BRENNAN:** There we are.  
6 Thank you. I think, Dr. Ringer, are you referring  
7 to the TRV derivation again? And whether that's  
8 representative of the hazard? So again, for DINP,  
9 it was laboratory rat and mouse data. They were  
10 ecologically relevant endpoints, such as for DINP,  
11 I think we had progeny weight, a lot of body  
12 weight, mortality, general reproductive. This is  
13 certainly an uncertainty in whether it picks up  
14 species-specific sensitivities, such as if mink  
15 are going to be more sensitive to a particular  
16 chemical than a mouse. This is what we're asking  
17 the SAC to weigh in on as well.

18                   **Dr. MARY ANN OTTINGER:** One last  
19 question, hopefully it's very quick, which is for  
20 the DINP, the source, I assume, for eco exposure  
21 would be primarily through air release and then  
22 settling on water and land?

1                   **DR. JENNIFER BRENNAN:** I could answer  
2 this too, but I'll let Dr. Green answer this  
3 portion because this is his portion of the  
4 presentation. Okay. Thank you.

5                   **DR. CHRISTOPHER GREEN:** Hello. Hi  
6 again. So with DINP, much like DIDP in terms of  
7 physical chemical and fate parameters and some of  
8 that analysis that you saw presented earlier,  
9 really the surface water releases and then  
10 deposition of sediment is a big thing for that.  
11 That's a big one. The modeling for air releases to  
12 soil was conducted for DIDP and you can see it  
13 within the screen level terrific transfer.  
14 However, it pales in comparison to water release  
15 to sediment deposition. Okay. So similar. Thank  
16 you very much.

17                   **Dr. MARY ANN OTTINGER:** Thank you  
18 very much.

19                   **DR. GEORGE COBB:**        So are there  
20 other questions from the committee?

21                   **DR. GEORGE COBB:**        Okay. So I have  
22 several questions. I'm going to try to consolidate

1 most of them. First thing I want to say is I  
2 really like slide 98, and it's a similar slide to  
3 the one that Dr. David asked about. And I think  
4 that could be very helpful in the overall hazard  
5 assessment document. I found it in the underlying  
6 data, but I didn't necessarily remember it in the  
7 main hazard document. So I think that's the TRV  
8 slide. I'm sorry. I must have mislabeled that.  
9 Perhaps. I may have mistyped that. Yeah. This  
10 family of slides, those images are really good and  
11 should be early in the documents where people can  
12 see them. They make this very... So this is a...  
13 Thank you for showing this and try to highlight  
14 this as best you can in the reports. I think it's  
15 helpful.

16 **DR. CHRISTOPHER GREEN:** It is  
17 included in our hazard TSDs, Dr. Cobb. Correct.  
18 But it's not in the body of the text that talks  
19 about the hazards as I understand it.

20 **DR. GEORGE COBB:** Yeah. That's the  
21 best point. I don't know how many people are going  
22 to dig that deep into the documents. A couple of

1 questions, and this goes directly to some of the  
2 toxicity data, and I think Dr. Brennan would be  
3 the person to answer this. There were toxic  
4 effects observed in the... I think it was Daphnia,  
5 and they were not used in the assessment, and  
6 neither the chronic nor the acute values were  
7 used. I understand what you're saying about the  
8 film, but that was only in one study, and  
9 regardless of how it happens, it's still toxicity.  
10 It's a toxic effect of the chemical. So I guess,  
11 first of all, why did you discard those values?

12 **DR. JENNIFER BRENNAN:** So maybe if we  
13 go to slide 100 or 101, that might help have  
14 something to look at for the hazard values you're  
15 talking about. For entrapment, EPA would not  
16 consider physical entrapment the same as  
17 chemically induced toxicity. And these values that  
18 the authors tested... and these values that the  
19 authors tested... I apologize. Next slide.

20 Perfect. So I think you're referring  
21 to the 21-day chronic value here with the  
22 entrapment values in Daphnia magna for DIDP DINP.

1 Yes, *Daphnia magna* did have immobilization or  
2 lethality in that study, but it was due to  
3 actually being physically trapped in a film of the  
4 chemical at the top because the authors had  
5 exceeded water solubility for both of those  
6 chemicals by a large degree. So for the purposes  
7 of chemically induced toxicity, EPA did not  
8 consider those hazard values for setting hazard  
9 thresholds.

10 **DR. GEORGE COBB:** But it does not  
11 matter how the toxicity occurs if the chemical is  
12 causing it. It does not matter if I drowned in the  
13 water or if it causes a toxic effect, it has still  
14 killed me. And this is the same thing with this.  
15 It does not matter how the toxicity occurs. It's a  
16 matter that it did occur. Now, are there  
17 environmental concentrations above this that have  
18 been measured in water for DIDP?

19 **DR. JENNIFER BRENNAN:** I'm going to  
20 turn the screen over here to... Well, we have two  
21 folks that could answer. One is Dr. Arashiro with  
22 the environmental media as far as the



1 concentrations, and then our senior science  
2 advisor, Dr. Eisenreich, could also weigh in here.

3 **DR. KAREN EISENREICH:** Yeah. Hi, this  
4 is Karen Eisenreich. I'm just wondering here if  
5 we're not getting actually into the charge  
6 questions, discussion on the charge questions a  
7 little bit. Maybe this should be discussed when we  
8 discuss the charge questions. That's more of a  
9 question here.

10 **DR. GEORGE COBB:** That's fine.  
11 Especially in the interest of time.

12 **DR. KAREN EISENREICH:** Yeah, I think  
13 that's where I'm going. I mean, this is definitely  
14 an interesting conversation, and I think there's  
15 things here that we can discuss. One thing that I  
16 would say just quickly is that one of the issues  
17 is when you're doing a laboratory study with these  
18 variable soluble compounds and you get that film  
19 on top, that usually doesn't mimic the  
20 environmental physical environment that you would  
21 potentially see those concentrations on, because  
22 they're often done in static conditions. And not

1 speaking directly on this particular study, but  
2 you often have static conditions that allow that  
3 film and your overwater solubility that allows  
4 that film to form.

5 And we generally don't see releases  
6 in the environment in that type of system where  
7 you're going to have a static system for our  
8 releases. But I do think that our exposure  
9 assessors, Michael, can potentially address this  
10 with the actual data and information that we have  
11 for our releases as well to specifically address  
12 this particular issue for this chemical. But in  
13 general, working with low soluble compounds in the  
14 laboratory just is very challenging, and it  
15 creates different physical conditions within that  
16 particular experiment that we just don't likely  
17 see in the environment.

18 **DR. GEORGE COBB:** Okay, well we  
19 can revisit this in the actual charge questions  
20 and not necessarily belabor this one any further.  
21 I do have one more question that I wanted to ask,  
22 and that's related to the concept of read across.

1 Was there any attempt made to do a read across  
2 analysis for toxicity of DIDP or DINP using other  
3 phthalates as was done with the, and I know you  
4 used DINP for DIDP and that was a near perfect  
5 match, but was there attempt to do anything like  
6 that for toxicities of fish or other things where  
7 the data were of limited availability or not  
8 available?

9 **DR. KAREN EISENREICH:** Yeah, thank  
10 you for the question, Dr. Cobb. So for comparing  
11 DIDP to other phthalates or even DINP for other  
12 phthalates, of the phthalates we're currently  
13 assessing right now, DIDP and DINP have fairly  
14 unique properties for being the most insoluble of  
15 the phthalates we're currently looking at for risk  
16 evaluations. And so in the read across screening  
17 methodology, which we are asking a charge question  
18 on for the SAC to apply on the methodology, when  
19 it reaches the physical, chemical, and  
20 environmental fate transport similarity, we have a  
21 fairly simple screening step by log KOW and log  
22 KOC and both DIDP and DINP met that screening

1 criteria for each other, but the other phthalates  
2 did not agree with either of those.

3 **DR. GEORGE COBB:** Okay, that  
4 explains it. Thank you.

5 **DR. KAREN EISENREICH:** You're  
6 welcome.

7 **DR. GEORGE COBB:** So are there  
8 other questions from the committee? Okay, seeing  
9 none, I want to ask our DFO, Alaa, do you think we  
10 should take a break now or should we continue? Do  
11 I have Alaa there? Yeah, but it's taking me a long  
12 time to unmute.

13 **DR. ALAA KAMEL:** Okay. Yeah, we could  
14 take a quick break like 10 minutes or so.

15 **DR. GEORGE COBB:** Yeah, let's take  
16 a 10 minute break and then we'll start back with  
17 the oral public comments and that'll give the  
18 media folks and the public commenters time to get  
19 things aligned. All right. So yeah, we'll see  
20 everybody back in at what time? 26 after the hour.

21 **DR. ALAA KAMEL:** All right.

1                   **DR. GEORGE COBB:**       All right. See  
2 everybody then.

3

4                   **PUBLIC ORAL COMMENTS**

5

6                   **DR. GEORGE COBB:**       You, All right,  
7 welcome back and I see a lot do you have anything  
8 that you'd like to say before we start with the  
9 public comments?

10

**DR. ALAA KAMEL:** No, we can start the  
11 public comments.

12

**DR. GEORGE COBB:**       All right, and I  
13 have a list of the commenters here. I hope I have  
14 the right order. If for some reason I do not I  
15 may turn it back over to Alaa to introduce our  
16 speakers. And these will be five minute  
17 presentations and then the committee can ask  
18 questions of the presenters after they're  
19 finished. So let's proceed to the first presenter  
20 and it's Amanda Berger from Talks Strategies.

21

**MS. AMANDA BUERGER:** Hi, thank you  
22 for the introduction. My name is Amanda and I'm a  
23 senior scientist at ToxStrategies. Today I will

1 present on the substantial body of evidence  
2 demonstrating DINP induced liver tumors and  
3 rodents are mediated by the peroxisome  
4 proliferator activated receptor alpha mode of  
5 action including lines of evidence and data that  
6 were not included in EPA's evaluation of the PPAR $\alpha$   
7 mode of action. Before I begin I want to note that  
8 this presentation was funded by Exxon mobile  
9 biomedical sciences. The comments and these  
10 expressed are my own next slide, please.

11 In the draft cancer human health  
12 hazard assessment for DINP, EPA evaluated the  
13 evidence for the peroxisome related PPAR $\alpha$  mode of action  
14 for liver tumors and rats and mice following DINP  
15 exposure. EPA concluded that there is strong  
16 evidence to support that the rodent liver tumors  
17 are mediated by the PPAR $\alpha$  MLA. There are  
18 additional lines of evidence and data that further  
19 support that the DINP induced liver tumors are  
20 mediated by the PPAR $\alpha$  MLA. That were not included  
21 by EPA and their evaluation of the rodent data for  
22 DINP next slide, please

1 The well-established PPAR $\alpha$  MLA for  
2 formation of liver tumors includes four key events  
3 as shown in this figure. Which is adapted from the  
4 2018 court net all publication. The lines of  
5 evidence supporting each key event are shown in  
6 the colored boxes. Lines of evidence presented in  
7 standard black text represent those that were  
8 considered by the EPA in the PPAR $\alpha$  mode of action  
9 evaluation. The three lines of evidence shown in  
10 bold and green indicate lines of evidence that  
11 were inadvertently not assessed by EPA. But for  
12 which there are data to support the PPAR $\alpha$  mode of  
13 action and rodents exposed to DINP.

14 Specifically regarding key event one  
15 EPA did not consider two associative events.  
16 Decreased circulating triglycerides and  
17 hepatocellular hypertrophy or cytoplasmic  
18 alterations. And regarding key event three EPA did  
19 not consider increased liver weight. These  
20 additional lines of evidence further support EPA's  
21 conclusion regarding the PPAR $\alpha$  mode of action for  
22 DINP induced liver tumors and rodents. Next slide

1 This slide shows the number of  
2 additional study data sets for lines of evidence  
3 that EPA inadvertently did not assess. Which are  
4 highlighted in green as well as the number of  
5 additional study data sets for lines of evidence  
6 that were included in the PPAR $\alpha$  mode of action  
7 evaluation by EPA which are shown in white. A  
8 total of 23 additional peer-reviewed and  
9 laboratory report rodent studies were identified  
10 for key about one and 17 studies were identified  
11 with data regarding increased liver weight and  
12 rodents for key event three.

13 Additionally there is an unpublished  
14 gene study that further supports the PPAR $\alpha$   
15 activation in rodents by DINP Which was submitted  
16 to the docket. The inclusion of these data further  
17 increases the strength of the evidence for key  
18 events one and three and therefore contributes to  
19 an increase in the strength of the evidence that  
20 DINP induced liver tumors are mediated by the  
21 PPAR $\alpha$  mode of action in rodents. We've provided a  
22 reference list as a part of the submitted



1 materials which identifies all of the additional  
2 studies that were inadvertently not assessed by  
3 EPA. Next slide, please

4 This slide identifies the studies  
5 that contain the additional data pertinent to the  
6 lines of evidence for key events one and three.  
7 These additional rodent data sets come from both  
8 peer-reviewed studies and publicly available  
9 laboratory reports that were either not previously  
10 cited by the EPA in the mode of action evaluation  
11 for which there were ten studies. Or studies that  
12 were cited by the EPA within the mode of action  
13 evaluation for some lines of evidence. But were  
14 inadvertently not included for other lines of  
15 evidence for which they were not in studies. Next  
16 slide

17 In summary there are additional  
18 lines of evidence and study data not cited by the  
19 EPA in the PPAR $\alpha$  mode of action evaluation that  
20 further support that the PPAR $\alpha$  mode of action  
21 mediates DINP induced liver tumors and rodents.  
22 These lines of evidence include associative events

1 of decreased circulating triglycerides and  
2 hepatocellular hypertrophy for key event one and  
3 increased liver weight for key event three.

4 Further there are additional study  
5 data supporting key events one and three including  
6 23 data sets from 17 studies that are pertinent to  
7 the associative events of key event one and 17  
8 studies with rodent liver weight data pertinent to  
9 key event three. This larger evidence base further  
10 increases the confidence and EPA's conclusion that  
11 the DINP induced liver tumors and rodents are  
12 mediated by the PPAR $\alpha$  mode of action.

13 I appreciate the opportunity to  
14 present these comments. Thank you

15 **DR. GEORGE COBB:** Thank you. Are  
16 there questions for the speaker I see a hand  
17 raised from the meeting contact, but I don't think  
18 that's real or for the speaker. Is the clock. So  
19 are there any other comments from this from the  
20 committee questions? Dr. Fenner Chris

21 Dr. Fenner Chris: Yes, and my  
22 question is did you find and any data that

1 describes better the differences in the receptor  
2 itself the species driven

3 **MS. AMANDA BUERGER:** There are data  
4 for mouse and human PPAR $\alpha$  receptor activation,  
5 which I believe someone else has submitted  
6 comments on. But there are also comments we  
7 submitted on the docket that explain the  
8 differences in the sensitivity. Yeah,

9 **DR. GEORGE COBB:** Right if there  
10 are no other questions, thank you for your comment  
11 and we'll move to our next speaker which is Paul  
12 DeLeo from the American Chemical Chemistry Council

13 **MR. PAUL DELEO:** Yes, thanks. Good  
14 day. I'm Paul DeLeo the senior director of  
15 chemical management at the American Chemistry  
16 Council. Yeah, I'm just speaking. This is my I  
17 don't have slides per se. Appreciate the  
18 opportunity to provide our comments in the draft  
19 risk evaluation for the IDP in particular. I'll be  
20 speaking to the occupational exposure assessment  
21 and charge question 1d regarding the screening  
22 level approach and potential refinements.

1 ACC sponsored development of a  
2 framework for evaluating EPA's exposure assessment  
3 under TOSCO risk evaluations, which was submitted  
4 to the SAC during the peer review of the  
5 formaldehyde draft risk evaluation. Purpose of the  
6 framework is to provide a step ways stepwise  
7 procedure for the critical evaluation of exposure  
8 scenarios. Exposure assessment methods and  
9 individual exposure, exposure assessments  
10 performed by EPA and the TOSCO risk evaluations.

11 We applied the same framework to  
12 evaluate the exposure assessment portion of the  
13 DIDP risk evaluation. One of our consistent  
14 comments on the EPA risk evaluation process is  
15 that the agency needs to follow a tiered  
16 assessment approach consistent with agency  
17 guidance or other standard occupational exposure  
18 practices. In the case of DIDP, the draft risk  
19 evaluation we find that EPA used an approach  
20 similar to other risk evaluations where it used  
21 worst-case to assumptions to derive a bounding

1 estimate of occupational exposure for a condition  
2 of use.

3 Those include assumptions of no  
4 engineering controls, no personal protective  
5 equipment daily exposures for the entire day and  
6 use of data for surrogate conditions of use or  
7 surrogate chemical DINP that result in higher  
8 exposure estimates. There are a number of these  
9 bounding estimate assumptions that the SAC should  
10 consider. We bring to your attention the approach  
11 to potential exposure to chemical sorb to dust EPA  
12 used particles not otherwise regulated PNOR that  
13 is general dust data to estimate the range and  
14 respiratory fraction size distribution of dust  
15 considerations for a worker who may be exposed  
16 during operations involving DIDP.

17 EPA refined the respirable PNOR  
18 range using OSHA chemical exposure health database  
19 data sets. Which has unknown worker activity or  
20 sampling locations. The OSHA exposure monitoring  
21 data is intended to characterize exposure profiles  
22 for workers with the highest exposures and yields.

1 Statistically biased high estimates of mean and  
2 upper bound percentiles for the entire worker  
3 population.

4 According to EPA's 2019 guideline  
5 for exposure assessment a bounding estimate is an  
6 estimate of exposure that is higher than the  
7 highest anticipated exposure to an individual life  
8 stage group or population. Bounding estimates show  
9 that true exposures are not greater than the  
10 estimated exposure. Assessors often use bounding  
11 estimates during screening level assessments to  
12 eliminate exposure pathways of minor importance  
13 from further consideration. So that's on page 63  
14 of the guideline exposure assessment.

15 So the use of bounding estimates is  
16 an appropriate part of a tiered assessment.  
17 However, those bounding assessments are not  
18 appropriate for conclusive assessment of  
19 unreasonable risk. Using a tiered approach  
20 refinement would occur when exposure-bounding  
21 estimates exceed the exposure benchmark. However  
22 in this risk evaluation unreasonable risk was

1 identified for only one of 47 conditions of use.  
2 As such the screening approach is appropriate for  
3 those COUs and only in the case where unreasonable  
4 risk is suspected. Additional for refinement is  
5 needed prior to making such a determination.

6           Regarding occupational exposure  
7 scenarios, we found them found them well organized  
8 and clearly mapped to the conditions of use in  
9 this risk evaluation. In addition, EPA followed  
10 its published hierarchy of data for occupational  
11 and consumer exposure information by prioritizing  
12 the use of monitoring data then model approaches  
13 and then other exposure and then other approaches  
14 if needed. However, we note that this approach is  
15 contrary to most tiered assessment approaches  
16 where the collection of monitoring data would be  
17 considered a higher tier approach.

18           Then we believe EPA should clarify  
19 the discrepancy between their data hierarchy and  
20 the guidance on exposure assessment. We commend  
21 EPA on the use of a flux based approach for  
22 estimating dermal exposure. We believe this is a

1 preferred approach rather than the dermal loading  
2 based approach found in many of the previous risk  
3 evaluations particularly given the highly  
4 conservative dermal loading assumptions that  
5 accompany EPA's use of that approach.

6 We encourage EPA to continue the use  
7 of the flux based approach for dermal exposure  
8 estimate. But reassured by the improvements in  
9 occupational exposure assessment approaches in  
10 this risk evaluation and urge the SAC to continue  
11 fostering further progress. Thank you. That's all  
12 I have

13 **DR. GEORGE COBB:** Thank you for  
14 that comment. Are there questions from the  
15 committee? Seeing none, we can move on. Next  
16 presenter, and that is Jennifer Foreman from the  
17 ACC high phthalates Panel.

18 **MS. JENNIFER FOREMAN:** Great. Thank  
19 you. my name is Jennifer Foreman I am a regulatory  
20 affairs advisor with ExxonMobil and we'll be  
21 presenting on behalf of the ACC high phthalate  
22 panel today. EPA has preliminarily selected the HD



1 of 12 mix per day based on decreased fetal  
2 testicular testosterone production. For assessing  
3 risk from acute and intermediate duration  
4 exposures to DINP. I would like to briefly  
5 highlight two areas that contribute to a possible  
6 overestimation of risk when relying on this HD.  
7 Next slide, please.

8 First is regarding the pod utilized  
9 this HD is based on the default BMD 5 response  
10 rate calculated by the national academies of  
11 science in the report published in 2017. While EPA  
12 does not have clear and fan guidance to assist in  
13 making judgments on the selection of response  
14 levels. EPA's BMD guidance reflects the principle  
15 of considering both statistical and biological  
16 significance of the response level selected.

17 In particular the BMD guidance  
18 states the ideal is to have a biological basis for  
19 the benchmark response for continuous data.

20 There's little evidence to support a 5% change in  
21 testosterone production represents a biologically  
22 relevant response. Rather evidence supports a

1 reduction of at least 40% during the male  
2 programming window is required to induce effects  
3 associated with phthalate syndrome. A BMD 40%  
4 response rate was calculated by the national  
5 academies and is available for use by the EPA.

6 The biological basis of the 40%  
7 testosterone BMD in the national academy report is  
8 based on data and analysis published by how to  
9 shell and gray the gray study concluded a 57 to 72  
10 percent Reduction in testosterone is needed to  
11 induce a 5 percent increase in any phthalate  
12 syndrome malformation.

13 The data for DINP are consistent  
14 with this high level of testosterone reduction  
15 needed to induce adverse outcomes. The few studies  
16 that have evaluated both testosterone and adverse  
17 outcomes report the low frequency low severity  
18 outcomes reported in the studies coincide with  
19 testosterone Reductions of greater than 60% of  
20 note chance and bias cannot be ruled out for the  
21 few spurious effects identified in these studies  
22 at those reduction levels.

1                   Additionally one study detected a  
2                   greater than 65% reduction in testosterone at  
3                   doses of 750 mix per cake and no associated apical  
4                   outcomes. Use of the five percent BMD 5 over the  
5                   BMD 40 represents an order of magnitude difference  
6                   in the HED used for assessing risk at these life  
7                   stages. As such, we request the SAC advise the EPA  
8                   to characterize these data and remaining  
9                   uncertainty associated with relying on a  
10                  biologically relevant testosterone response rate.  
11                 Next slide, please

12                   The second consideration of where  
13                   risk may be overestimated is regarding life stage  
14                   relevance. It is well established that effects  
15                   associated with rat phthalate syndrome require  
16                   exposure during a very limited window of  
17                   gestational development referred to as the male  
18                   programming window marked by the red star in the  
19                   figure on the right side of the slide. Only  
20                   exposure during the male programming window causes  
21                   the spectrum of disorders associated with rat  
22                   phthalate syndrome to manifest at birth and or

1 into adulthood. Whereas reduction of androgen  
2 outside of this window do not elicit rat phthalate  
3 syndrome. As such the HD and related mode of  
4 action for effects associated with rat phthalate  
5 syndrome indicates the relevant exposures for  
6 human health risk assessment are during a human  
7 male programming window equivalent, which is  
8 approximately eight to 14 weeks gestation.

9           Therefore the reduction in  
10 testosterone that serves as the basis of the acute  
11 and intermediate POD Is considered of relevance  
12 for assessing risk to fetal males thus relevant  
13 only for exposure to women of reproductive age.  
14 For DINP specifically, there is no evidence that  
15 exposure after the male programming window elicits  
16 any androgen dependent outcomes. Such as  
17 cryptorchidism or perturbed postnatal growth of  
18 reproductive organs to their pre-programmed size.

19           Finally, the use of the BMD5  
20 response for acute exposures is also likely to be  
21 an overestimation of risk as applicability to  
22 acute exposures was supported by limited evidence.

1 That high doses of DBP can induce effects after a  
2 single or successive doses during the male  
3 programming window. The doses for these studies  
4 were almost two orders of magnitude higher than  
5 the BMD5 l calculated for DBP by the national  
6 academies. So 500 makes per gig per day in those  
7 studies versus the five percent response rate of  
8 at eight makes per gig per day.

9 In closing we propose that the  
10 evidence suggests uncertainty associated with the  
11 selected pod and life stages points to an  
12 overestimation of risk. And ask the SAC to comment  
13 on the scientific strengths and uncertainty for  
14 the presented refinements which could be applied  
15 to the EPA screening assessment, particularly  
16 where MOAs are close to the selected benchmark of  
17 30. Thank you, appreciate letting me comment

18 **DR. GEORGE COBB:** Thank you for  
19 the comment. Are there questions from the, I see  
20 Dr. Ottinger has her hand

21 **DR. MARY ANN OTTINGER:** Thank you  
22 for a very interesting presentation. Were other

1 androgen dependent, prenatal and perinatal effects  
2 considered in terms of recommending any kind of  
3 lowering of the required you know of the  
4 requirement? Because the critical window you're  
5 talking about only refers to really anatomical and  
6 other HPG axis as well as thyroid and other axes  
7 are also dependent on androgen effects

8 **MS. JENNIFER FOREMAN:** So this is  
9 specific to looking at studies and results, which  
10 have occurred during that specific window. When  
11 you look at studies that occur outside in that  
12 window the doses are much higher and it's pretty  
13 much limited to some histopathological effects  
14 going to testes and that's generally with DVP or  
15 DEHP. You don't see those effects with DINP, so if  
16 you look at juvenile exposures or adult exposures  
17 it occurs at much higher doses than what's  
18 selected from the prenatal exposures and it also  
19 is not the full slate of effects which have been  
20 associated as rat phthalate syndrome, which are  
21 particular to that window of um exposure.

1                   **DR. GEORGE COBB:**       Thank you for  
2                   the question and the answer, seeing no further  
3                   questions. Thank you for that presentation and we  
4                   can move on to our next presenter and that's will  
5                   be Suzanne Hartigan from the American Chemistry  
6                   Council. I think you're muted if you are talking

7                   **DR. SUZANNE HARTIGAN:** Apologize  
8                   unmuting. I'm Suzanne Hartigan with the American  
9                   Chemistry Council and I will be presenting to you  
10                  today on the uncertainties of the selected human  
11                  equivalent doses for DINP and consider heart and

12                  **DR. GEORGE COBB:** Dr. Hartigan can  
13                  you speak up a little you're a little bit low  
14                  volume there.

15                  **DR. SUZANNE HARTIGAN:** Apologies hold  
16                  this closer. Can you hear me now?

17                  **DR. GEORGE COBB:**        Better

18                  **DR. SUZANNE HARTIGAN:** Okay, great.

19                  Thanks, you can go to the next slide

20                  So in the draft non-cancer human  
21                  health hazard assessment for DINP EPA developed  
22                  human equivalent doses based on allometric body

1 weight scaling to the three-quarter power. The  
2 guidance EPA followed for HED derivation and  
3 selection of default UFAS for the benchmark MOA  
4 states that when a default HED approach is used in  
5 the absence of additional data informing  
6 consideration of interspecies differences a  
7 residual default interspecies uncertainty factor  
8 of three remains. Additional guidance from EPA was  
9 published in 2014, which outlines considerations  
10 for deviating from default values titled guidance  
11 for applying quantitative data to develop data  
12 derived extrapolation factors for interspecies and  
13 interspecies extrapolation.

14 Specifically this guidance  
15 highlights consideration of human relevance for  
16 informing the interspecies uncertainty factor such  
17 that the residual uncertainty of three may be  
18 modified based on available data. The guidance  
19 states the default uncertainty factor value is  
20 applied unless it can be concluded that the test  
21 species is equally or more susceptible than  
22 humans. We believe there are sufficient data to



1 conclude that the test species is more susceptible  
2 than humans for the endpoints used as the basis  
3 for the derived DINP HEDS. Next slide, please  
4 EPA's proposal is to use a point of  
5 departure for decreased fetal testicular  
6 testosterone Production to characterize risk from  
7 exposure to DINP for acute and intermediate  
8 exposure scenarios. As summarized in the report in  
9 2017 from the national academies the linkage  
10 between phthalate exposure decreased testosterone  
11 and phenotypic changes across species is  
12 uncertain.

13 There are differences in the  
14 reported responses of rat, mouse, non-human  
15 primate, and human fetal testes. Especially with  
16 regard to testosterone suppression in particular  
17 the data qualitatively indicate that human testes  
18 are less sensitive to the effects of phthalates  
19 than the rat testes. Multiple studies using human  
20 fetal testes implanted into an animal host to  
21 demonstrate human fetal testes are insensitive to  
22 the anti-steroid eugenic effects of DBP. The human

1 xenograft model was tested to determine if it was  
2 sensitive enough to detect compounds known to  
3 decrease testosterone in humans. And was able to  
4 identify impacts with a positive control compound  
5 while seeing no impacts due to exposure to DBP.

6 DBP is a phthalate consistently  
7 shown to decrease testosterone in rats the test  
8 species for the HED. EPA can use this data to  
9 conclude the test species is more sensitive than  
10 humans for the endpoint used for the selected  
11 Point of departure and justify the use of a one  
12 for the remaining UFA when calculating the  
13 benchmark MOA. Next slide

14 EPA's proposal is to use a point of  
15 departure based on liver toxicity to estimate non-  
16 cancer risks from oral exposure to DINP for  
17 chronic durations of exposure. Liver toxicity in  
18 the key study was characterized by increased liver  
19 weight increased serum enzymes

20 And histopathological findings for  
21 example focal necrosis and spongiosis hepatitis. All  
22 of these endpoints except for spongiosis hepatitis

1 occur as a result of PPAR $\alpha$  activation and are  
2 either key events are associated events of mode of  
3 action. There is no human correlate for spongiosis  
4 hepatitis. Data from ability et al looking at  
5 species differences in PPAR $\alpha$  receptor activation  
6 have demonstrated. There's approximately a five-  
7 fold difference in sensitivity of the human  
8 receptor to activation by DINP.

9 EPA can use this data to conclude  
10 the test species is more sensitive than humans for  
11 the endpoint used for the selected point of  
12 departure and justify the use of one for the  
13 remaining UFA when calculating the benchmark MOA.  
14 Additionally, there is secondary high quality  
15 study that provides a no effect level of 88 that  
16 is between the no effect level of 15 and the  
17 effect level of 152 per day in the key study. This  
18 is a greater than three-fold difference in viable  
19 points of departure that could have been selected  
20 by EPA which provides EPA with additional  
21 confidence or reduction in the benchmark MOA would  
22 not be underestimating risk. The last slide has

1 the references associated with this talk for your  
2 information and I thank you for the opportunity to  
3 provide feedback.

4 **DR. GEORGE COBB:** Thank you for  
5 your presentation, are there questions from the  
6 committee? If there are none, thank you again and  
7 we can move on to our next presentation. My list  
8 has Eileen Conneely again from the American  
9 Chemistry Council

10 **DR. RAYMOND DAVID:** I think she was  
11 going to be a backup for Suzanne. So we can move  
12 on

13 **DR. GEORGE COBB:** I understand now  
14 what that meant so the next presenter then will be  
15 Thomas Hmiel, apologies.

16 **THOMAS HMIEL:** That's okay. And  
17 based on that I'm assuming that my audio is  
18 adequate that you can hear me. Thank you for the  
19 opportunity to present today. My name is Thomas  
20 Hmiel. I am director regulatory affairs for Teknor  
21 Apex company. Teknor Apex is one of the companies  
22 that was party, requesting the manufacturer's

1 review of DINP and also our primary businesses as  
2 a custom compound or plastics including PVC. My  
3 comments today are on behalf of the ACC higher  
4 phthalates panel and reflect information that the  
5 panel gathered in discussing, the conditions of  
6 use for PVC compounding with downstream users and  
7 they also reflect information that was submitted  
8 to the docket as part of the higher phthalates  
9 panel of comments. Could I have the next slide,  
10 please?

11 This slide, to orient you it  
12 provides an overview of the , a generic overview  
13 of the PVC compounding of the process. The  
14 comments that I'm making it are really directed  
15 more towards the central tendency. And some of the  
16 assumptions that were made with regards to the PVC  
17 compounding condition of use.

18 The hexagons represent a line with  
19 the numbered bullets below for the first part when  
20 you're manufacturing PVC compounds you initially  
21 mix all of the dry ingredients including the PVC  
22 resin before adding the plasticizer to the blender

1 as such when you have dust monitoring issues in an  
2 occupational exposure setting the dust that  
3 typically is involved contains no plasticizer.

4 When the plasticizer is added, EPA is assumed that  
5 the material will be handled in drums. However,  
6 DINP and DIDP are both commodity plasticizers and  
7 almost all situations they're going to be handled  
8 in bulk and pumped into the blender once the lid  
9 has been closed. So any exposures to dust that  
10 would occur from the initial ads would not contain  
11 DIDP or DINP. And once that addition to the  
12 blender has been initiated the operator will  
13 typically move to another job and there really  
14 would be no exposures at that time.

15           Once the blending is completed, we  
16 move on to hexagon number three. The blending  
17 vessel or the contents of the vessel will be  
18 transferred into a feed hopper. So you may there  
19 would not be any exposures at that point to the  
20 worker because there's no contact that is that is  
21 necessary at that stage. Moving on to the  
22 extrusion process and um item number four. The

1 extruders are equipped with vapor removal and  
2 vapor capture devices because there may be  
3 volatiles that are emitted during the extrusion  
4 process. The hoods or vents are generally vented  
5 outside and are subject to clean air act  
6 permitting requirements. Those requirements would  
7 be dependent upon the size of the facility and  
8 they could be subject to title five requirements.

9 But from a worker exposure  
10 standpoint, you are not going to see the potential  
11 for dust exposures from that point on through the  
12 process including during packaging because any  
13 aerosols or any emissions would be drawn away from  
14 the worker and they wouldn't necessarily come back  
15 into the occupational environment. Finally for on  
16 this slide when we're looking at producing  
17 compounds what you will do is schedule them in  
18 campaigns so that there is not cleaning between  
19 batches, this is a batch operation and so what you  
20 will try to do is minimize the number of cleaning  
21 events that you will have by just rerunning the

1 next material over it and again reducing dermal  
2 exposures. Can I have the last slide, please?

3 So this slide summarizes the  
4 conclusions that we are the concerns that we've  
5 raised from this from the condition of use. The  
6 facilities in the compounding industry generally  
7 operate on five day a week 24 hour a day schedule  
8 and not 365 days a year as indicated in the COU.  
9 The batches are the process is batch in nature. So  
10 you're not going to have exposure for more than  
11 eight hours per shift. And the exposure to dust  
12 does occur prior to plasticizer admission.  
13 Orthothalates are also only a portion of the  
14 plasticizers used in the flexible PVC compounding  
15 world.

16 We're looking at anywhere from a  
17 half a percent to 80 percent and it's dependent  
18 upon facility in the end use market. So that that  
19 we see a lot of variability in that and even where  
20 DIDP and DINP are used. It's typically less than  
21 15 minutes per batch and there is a typo on that  
22 it should say 15 minutes per batch. Where the



1 material is transferred from the tank into the  
2 blender and then finally if we go downstream of  
3 the blending process the exposures are assumed to  
4 be minimal. There is some limited occupational  
5 monitoring data on it, but not a whole lot. But  
6 generally it's about in order of magnitude lower  
7 than what we see in the blending area and I  
8 apologize for running over and appreciate the  
9 opportunity to submit these comments.

10 **DR. GEORGE COBB:** All right, thank  
11 you for your comment, are the questions from the  
12 committee? I actually have one and it's based on  
13 your extruders and the exhaust you mentioned the  
14 exhaust might be subject to different rules and I  
15 wonder if you can speak to what those rules might  
16 be for instance are they heavily filtered? Those  
17 types of things in that exhaust for the extruders.

18 **THOMAS HMIEL:** So the requirements  
19 would be dependent upon the size of the facility  
20 and the emissions under the clean air act if you  
21 admit a large enough quantity of pollutants you'd  
22 be subject to title five operating permits and

1 also pollution control devices. Generally what you  
2 would be looking at would be particulate matter.  
3 So you would generally have that screen through a  
4 dust collector. However, you have to be very  
5 careful in your material selection because PVC if  
6 you heat it up too much it involve HCl. So  
7 generally you'd have to have some type of acid  
8 gas. The fabric would have to be resistant to any  
9 acids that you would get through but that would be  
10 the standard. A smaller facility that would be the  
11 emissions would be low, might be some object to  
12 lesser requirements for control. On all of our  
13 events off of our processes where our facilities  
14 are located, but I can't speak to every facility  
15 that would be in that in the industry.

16 **DR. GEORGE COBB:** Certainly I see  
17 a question from Dr. David.

18 **DR. RAYMOND DAVID:** Hi, just a  
19 question about the layout of the process of  
20 compounding and that is as I understand for Teknor  
21 Apex our representative is that for other  
22 manufacturers, do you know?

1 THOMAS HMIEL: The information that  
2 we gathered the panel surveyed some other  
3 manufacturers and so the overall process where you  
4 would bring the resin and the plasticizer together  
5 in a blending vessel and then transfer that to the  
6 extruder is was consistent with the companies that  
7 we surveyed. I can't speak to say a plastisol  
8 process that could be more of a continuous  
9 process. But on the compounding side we're in the  
10 end. We're making pellets. It is a batch nature  
11 and it's fairly standard processing across the  
12 industry to my knowledge.

13 DR. RAYMOND DAVID: And that would  
14 include the engineering controls?

15 THOMAS HMIEL: Yes, yeah, because if  
16 with the particularly off the extruder because as  
17 I mentioned in the last question you have the  
18 possibility of evolving HCl from if the PVC starts  
19 to degrade so the recommended practice is to pull  
20 the vapors away from the breathing zone in the in  
21 the working environment and get that off out of  
22 the facility

1                   **DR. RAYMOND DAVID:** Thank you

2                   **DR. GEORGE COBB:**       Thank you for  
3                   that presentation. If there are no further  
4                   questions we can move on to our next speaker and I  
5                   have Rashmi Joglekar, is that right on the talk it  
6                   next.

7                   **MR. RASHMI JOGLEKAR:** Yes. Thank you  
8                   so much. Good afternoon. I'm Rashmi Joglekar and  
9                   the Associate Director of science policy and  
10                  engagement at the UCSF Program on Reproductive  
11                  Health and the Environment. The DIDP draft risk  
12                  evaluation and the DINP draft hazard assessment  
13                  failed to incorporate the best available science.  
14                  And make a number of scientifically unsupported  
15                  assumptions that if adopted will result in  
16                  acceptance of serious risks to human health and  
17                  set a dangerous precedent for future task risk  
18                  evaluations. We submitted detailed comments in  
19                  response to both documents and I encourage you to  
20                  read those. Today I'll just be highlighting our  
21                  main concerns that we urge this act to take into  
22                  consideration.

1 First EPA has failed to identify and  
2 evaluate relevant health effect studies for DIDP  
3 and DINP in a manner that's consistent with the  
4 best available science. For example both  
5 assessments inappropriately excluded all human  
6 epidemiological studies from dose response  
7 assessment. And relied on systematic review  
8 methods that lack transparency and inappropriately  
9 excluded toxicity studies without scientific  
10 justification. For both DIDP and DINP epi studies  
11 published after 2019 were only considered if they  
12 were submitted to the EPA docket. Toxicological  
13 studies published after 2019 were not considered  
14 at all. These practices are inconsistent with the  
15 best available science. Both the NAS and the EPA  
16 SAC have recommended systematic review methods.  
17 That require a comprehensive and transparent  
18 review and EPA has still not implemented these  
19 recommendations

20 Second EPA's hazard assessments for  
21 both chemicals are inconsistent with the best  
22 available science and lead to a serious

1 underestimation of risk. EPA violated its own  
2 guidance and failed to apply benchmark dose  
3 modeling to derive non-cancer points of departure.  
4 Leaving uncertainty whether the most sensitive  
5 endpoints were selected. EPA also failed to rely  
6 on best available scientific methods to quantify  
7 non-cancer risks for both chemicals leading to  
8 serious underestimations of risk. We apply methods  
9 developed by the World Health Organization to  
10 quantify the non-cancer risk of developmental  
11 toxicity from chronic DIDP exposure and found that  
12 EPA's current MOA approach.

13 Consider exposures acceptable that  
14 result in an upper bound risk level of one in one  
15 hundred. A risk level ten thousand times higher  
16 than the one in one million target risk level that  
17 EPA typically applies for protection of  
18 personogenic risks. Similarly for non-cancer risk  
19 of liver toxicity from chronic DINP exposure. We  
20 found that the MOA approach. Consider exposures  
21 acceptable that result in an upper bound risk of

1 one in two hundred a risk level. Five thousand  
2 times higher than that target risk level.

3 Third there are serious  
4 inconsistencies between EPA's risk estimates and  
5 EPA's conclusions regarding unreasonable risk for  
6 DIDP. Not only did EPA rely on the flawed MOA risk  
7 characterization approach, but they also  
8 downplayed and discounted risks that were  
9 identified using this flawed approach. EPA used  
10 primarily central tendency exposure estimates for  
11 workers and consumers and its unreasonable risk  
12 determination. Disregarding unreasonable risks of  
13 non-cancer effects for 50% of the human population  
14 including potentially exposed or susceptible  
15 subpopulations. For example in the DIDP draft risk  
16 evaluation EPA found that only one occupational  
17 condition of use presented unreasonable risk to  
18 human health despite nine other COU's having MOA's  
19 less than the benchmark MOA.

20 Results that would have previously  
21 translated into a determination that all 10  
22 occupational co use contributed to unreasonable

1 risk. Instead, EPA dismissed these risks without  
2 scientifically supported rationale. It's  
3 concerning that EPA disregarded high-end risk  
4 estimates at the final stages of risk  
5 determination. Only after finding that these risks  
6 are high an approach that has only been previously  
7 employed in a task of formaldehyde draft risk  
8 evaluation. In doing so EPA continues to set a  
9 dangerous precedent that even when EPA calculates  
10 risk it can disregard that risk without scientific  
11 justification.

12 Finally EPA does not consider real  
13 world exposures and risks for example EPA failed  
14 to consider exposures from non-taxa uses. For DIDP  
15 and DINP including exposures through food  
16 packaging and personal care products. Given that  
17 food is the primary route of exposure to both  
18 chemicals in children and adults EPA will  
19 understate the risks to the general population.  
20 From the taxa uses of these chemicals if it does  
21 not take these background exposures into account.  
22 Now EPA also failed to adequately identify



1 potentially exposed or susceptible. Sub-  
2 populations and consider factors that increase  
3 susceptibility to harm. The best available science  
4 shows that individuals are more susceptible to  
5 harm from chemical exposures due to both intrinsic  
6 factors like life stage or underlying disease and  
7 extrinsic factors like psychosocial stress from  
8 racial injustice.

9 EPA failed to adequately consider  
10 any of these susceptibility factors in the draft  
11 risk evaluation ignoring the best available  
12 science and leaving communities at continued risk  
13 of harm from DIDP exposure. Improvements to its  
14 assessments for both chemicals can be made today  
15 using existing scientific methods to better  
16 protect human health. And we encourage you to read  
17 our detailed comments to refer to these  
18 recommendations. Thank you for your time

19 **DR. GEORGE COBB:** Thank you for  
20 your comment, are there questions from the  
21 committee? So, I'll just say I found your comments

1 very interesting and we will certainly be  
2 considering them carefully. So thank you very much

3 **MR. RASHMI JOGLEKAR:** Great. Thanks  
4 so much

5 **DR. GEORGE COBB:** So then we can  
6 move on to our next speaker Kelly Lester from  
7 Earthjustice.

8 **MS. KELLY LESTER:** Hi, thank you for  
9 the opportunity to speak today. My name is Kelly  
10 Lester. I'm an attorney with Earthjustice.  
11 Earthjustice and other organizations including  
12 environmental defense fund who you'll hear from  
13 shortly. Submitted written comments on the draft  
14 DIDP risk evaluation and draft DINP hazard  
15 assessment. Today, I want to highlight two points  
16 we made in our comments both of which were just  
17 touched on by Dr. Joe Glicker regarding how EPA  
18 inappropriately derived and interpreted its risk  
19 estimates in the draft DIDP risk evaluation.  
20 Essentially EPA impermissibly concluded that risks  
21 that exceed its own risk benchmark do not  
22 contribute to unreasonable risk. And even the

1 risks that it calculated were understated because  
2 EPA failed to consider relevant exposures.

3 So first in deciding whether various  
4 conditions of use which I'll call CO use of DIDP  
5 contribute to unreasonable risk. EPA interpreted  
6 its risk estimates in a manner that is  
7 inconsistent with its past practice and is not  
8 justified. EPA calculated non-cancer risks to both  
9 workers and consumers that exceed EPA's long-  
10 standing unreasonable risk benchmark. That is it  
11 calculated MOA's for multiple exposure scenarios  
12 that are below the benchmark MOA. But nonetheless  
13 concluded that the relevant co use do not  
14 contribute to unreasonable risk. As one example  
15 EPA calculated risks to workers from high-end  
16 exposures associated with multiple co use that  
17 exceed EPA's risk benchmark. But instead of  
18 finding that those co use contribute to DIDP's  
19 unreasonable risks

20 EPA asserted that the co use at  
21 issue are best represented by central tendency  
22 exposures which did not generate risks exceeding

1 EPA's benchmark. That's not only contrary to EPA's  
2 past practice of making risk determinations based  
3 on high-end exposures. But it also violates taxa  
4 which requires EPA to consider the risks to those  
5 who face higher than average exposures to a  
6 chemical. And EPA failed to rationally explain why  
7 the co use at issue are better represented by  
8 central tendency exposures.

9 As another example EPA calculated  
10 risks to infants from exposure to DIDP. And  
11 wallpaper that exceed EPA's benchmarks, but it  
12 nevertheless determined that this exposure  
13 scenario does not contribute to unreasonable risk.  
14 It attempted to justify its decision by casting as  
15 overly conservative its exposure assumptions.  
16 Including the entirely realistic assumption that  
17 some infants stay home all day. Here too EPA's  
18 conclusion is not rationally supported and is  
19 contrary to taxa's mandate to address risk to  
20 individuals who experience above average  
21 exposures.

1           Second EPA underestimated DIDP's  
2 risks because EPA did not consider all relevant  
3 exposures. I'll highlight three areas where EPA  
4 failed to do so, but there are others identified  
5 in the comments that we submitted to the docket.  
6 So one, EPA failed to consider all relevant  
7 exposure routes for consumers. For example EPA  
8 only assessed exposures from ingestion of dust for  
9 DIDP containing articles that EPA characterized as  
10 contributing to DIDP dust concentrations  
11 significantly because those articles have a large  
12 surface area. This approach violates taxa, which  
13 requires EPA to consider all DIDP exposures

14           It also rationally assumes that an  
15 article surface area is the only factor that  
16 determines its contribution to DIDP dust  
17 concentrations rather than for example how an  
18 article is used and how much DIDP it contains. And  
19 EPA did not consider dermal exposures that are  
20 reasonably foreseen such as from adults handling  
21 children's toys or toddlers touching a shower  
22 curtain.

1                   Second, two EPA failed to aggregate  
2 exposures to workers and to consumers across  
3 conditions of use that are reasonably foreseen to  
4 occur in combination as it must do under tax. EPA  
5 said it did not consider total exposures  
6 associated with multiple co use because it  
7 supposedly did not find any evidence to support  
8 such an aggregate analysis. But it's foreseeable  
9 that individuals will be exposed to DIDP from for  
10 example both wallpaper and shower curtains in  
11 their homes and that workers exposed to DIDP on  
12 the job will also be exposed from products in  
13 their homes. And authorities such as the CPSC chap  
14 have developed scenario-based exposure assessments  
15 for DIDP that account for aggregate exposures for  
16 multiple conditions of use.

17                   And three EPA did not account for  
18 background exposures from so-called non-taxa uses  
19 such as food contact materials and cosmetics.  
20 Instead proceeding as if those exposure sources do  
21 not exist and do not contribute to people's  
22 overall DIDP body burden. This is contrary to

1 taxa's mandates including its directive to conduct  
2 risk evaluations in accordance with the best  
3 available science. That compel consideration of  
4 these background exposures. In order to fully  
5 account for the risks presented by DIDP, EPA must  
6 consider how all sources of exposure to this  
7 chemical contribute to overall risk. And exposure  
8 faced by individuals including and especially  
9 potentially exposed in susceptible subpopulations.  
10 EPA must correct these errors in the final DIDP  
11 risk evaluation so that it accounts for all  
12 relevant DIDP exposures. And appropriately  
13 considers how those exposures contribute to risk.  
14 Thank you for your time

15 **DR. GEORGE COBB:** Thank you for  
16 your presentation, are there questions from the  
17 committee? All right, seeing none, thank you again  
18 for your presentation and we can move to our next  
19 speaker. I have Silvia Malberti on my list

20 **MS. SILVIA MABERTI:** Thank you, good  
21 afternoon, my name is Silvia Maberti and I am a  
22 senior exposure scientist at ExxonMobil Biomedical

1 Sciences. Today, I will be discussing comments  
2 submitted to the docket by the ACC high-end  
3 tireless panel

4 I thank you for the opportunity to  
5 provide the EPA with comments regarding the  
6 transfer escalation for the DIDP. In particular, I  
7 will be speaking to the consumer exposure  
8 assessment methodology and charge question 1a  
9 regarding the screening level approach and  
10 potential refinements. As stated in slide one or  
11 next one EPA used its own consumer exposure model.  
12 Developed to estimate human exposures from diverse  
13 consumer products through various pathways. The  
14 model uses many default parameters or calculates  
15 them using basic physical chemical properties such  
16 as vapor pressure. The combination of these  
17 conservative assumptions tends to represent worst-  
18 case scenarios, which can yield a bounding  
19 estimate. In other words more than a high-end  
20 estimate for a plausible distribution of  
21 exposures. This is a streamlined approach to  
22 screen out pathways that do not present



1 unreasonable risk. Even though the predicted  
2 exposures might not be well physically possible.

3 In general, EPA's exposure  
4 assessment guidelines require that tiered approach  
5 for refinement is required. To a certain  
6 exposures, especially when using a bounding  
7 estimate. However, since unreasonable risk was not  
8 determined for any of these conditions of use.

9 This screening approach is sufficient and no  
10 additional refinement would be needed.

11 Nevertheless if these estimates of exposures were  
12 to be used for other purposes like a cumulative  
13 risk assessment. Additional refinements would be  
14 needed. Slide two, next slide.

15 I present some recommendations for  
16 refinements with regards to inhalation exposures.  
17 EPA could consider some recent studies  
18 demonstrating the low concentration of DIDP and  
19 even DINP in the gas space. Such as by et al 2024  
20 or et al in 2018. For DIDP and similar higher  
21 molecular weight plasticizers the concentration in  
22 the air does not seem to follow the linear

1 relationship of concentration and vapor pressure  
2 as utilized in the CEM model, which might explain  
3 the overestimation of the predicted inhalation  
4 exposures.

5 With regards to dust ingestion EPA  
6 estimated DIDP exposure by aggregating modeled  
7 mouthing suspended dust ingestion and subtle dust  
8 ingestion. They acknowledge that the relative  
9 contributions for it, interested source will  
10 differ among life stages. But this approach yields  
11 an unreasonably high dust ingestion rate. This is  
12 shown in table 4.8 where the modeled exposures are  
13 much higher than the monitored exposures. This  
14 indicates that the modeling approach views is  
15 conservative and fit for purpose for screening.  
16 But would likely need to be revisited for a refine  
17 if a refinement is pursued as EPA anticipates. But  
18 for the refinement EPA could consider comparing  
19 these modeling approaches with the dust ex  
20 modeling tool from RIBM. Although the DIDP  
21 physical chemical properties might fall outside of  
22 this domain. This is useful also for other values.

1                   Additionally EPA could investigate  
2                   the use of their risk assessment identification  
3                   and ranking indoor and consumer exposure model or  
4                   radar eyes described by Li et al in 2008. It  
5                   provides a multimedia modeling approach based upon  
6                   a function that predicts indoor dust  
7                   concentrations of plasticizers and other  
8                   chemicals. As a benchmark exercise, EPA also  
9                   considered the enhanced biomonitoring data to aid  
10                  in estimated exposure to solids from all pathways  
11                  taxa and not taxa uses. Although the biomonitoring  
12                  data may like contextual information, on what  
13                  consumer products an individual might have to use  
14                  contact with and national biomonitoring data would  
15                  capture widespread uses with everyday contact.  
16                  This data could also be used to validate model  
17                  exposures from a single source in widespread  
18                  views. So the references mentioning these comments  
19                  are also provided in the recontained document and  
20                  they're submitted to the docket. Thanks for your  
21                  time.

1                   **DR. GEORGE COBB:**       Thank you for  
2 the presentation, are there questions?

3                   **DR. CHRISTINE CHAISSON:** Yes, this is  
4 Dr. Chaisson. I have a question. I couldn't find  
5 my hand thing. So I'm sorry

6                   **DR. GEORGE COBB:**       Okay, don't  
7 worry go ahead.

8                   **DR. CHRISTINE CHAISSON:** Do you have  
9 an estimate of how? If we use the rhythms dust  
10 modeling tool. And if we use NHANES biomonitoring,  
11 can you do you have some kind of estimates to how  
12 much of a change that would actually make in the  
13 exposure? Assessments of equivalent?

14                   **MS. SILVIA MABERTI:** Well, I did run  
15 them. Actually I didn't present them here because  
16 it is submitted to the docket and it would take a  
17 long time. For air, we're talking an estimate that  
18 would be at least three orders of magnitude lower,  
19 and for dust, it's about two orders of magnitude  
20 lower. I'm happy to share my estimates. I use the  
21 EPA inputs and I use the dust sites model for  
22 that. However with the DIDP as I mentioned

1 earlier. Because of the KOA being outside of the  
2 of the model parameters. I had to use a lower KOA  
3 which would over predict them the exposure.

4 **DR. CHRISTINE CHAISSON:** It might be  
5 helpful if you provided that calculation or some  
6 descriptions of the calculations

7 **MS. SILVIA MABERTI:** Okay. Full  
8 disclosure, they have not been peer reviewed, but  
9 I'm more than happy to share them, and from the  
10 MAYA monitoring data, my colleague Wahyan will be  
11 presenting in a few minutes.

12 **DR. CHRISTINE CHAISSON:** So you'll  
13 see that. so were you using the. What were what  
14 were the factors that you did had the greatest  
15 impact on the differences in the answers because  
16 the DustEx modeling tool has some very different  
17 approaches than CEM. So in your opinion what were  
18 the driving factors that could get three orders or  
19 two orders of magnitude difference for the dust?  
20 Do you have a data any guess on that?

21 **MS. SILVIA MABERTI:** I'm not an  
22 expert in the calculations per se but definitely

1 the KOA the way the KOA is used and estimated but  
2 and that drives the estimation of the  
3 concentration of saturation in air will then drive  
4 super high concentrations in air and if you  
5 compare the predicted exposures the predicted  
6 concentrations in air. I use in the CEM I used I  
7 compared the EHP the DINP and DIDP. And oddly  
8 enough the concentrations the predicted  
9 concentrations in there were six times greater  
10 than the prediction concentrations predict the  
11 concentrations in from DEHP Which makes no sense  
12 because DEHP is more volatile. And if you see all  
13 of the literature the concentrations in of DEHP  
14 are much greater than DINP and DIDP. So it's  
15 definitely the way the concentration of saturation  
16 is as measured and it's because of that linear  
17 relationship between concentration and vapor  
18 pressure, I believe.

19 **DR. CHRISTINA CHAISSON:** Thank you  
20 very much very helpful.

21 **DR. GEORGE COBB:** Other questions.  
22 I actually have one about you were talking about

1 the vapor concentrations, how does the approach  
2 that you were using or include aerosols rather  
3 than actual vapors in that context.

4 **MS. SILVIA MALBERTI:** So I do not  
5 believe that the CEM or the DustEx model would use  
6 aerosols in the way I'm an industrial hygienist.  
7 So the way I define aerosols is very particular.  
8 And so I would think that a substance is not even  
9 it's beyond semi-volatile, right? So especially  
10 these higher molecular weight ballots. They're  
11 like very barely volatile so you wouldn't expect  
12 having an aerosol, but definitely maybe some  
13 migration and then adsorption into surfaces or  
14 into dust that has been all hypothesized and  
15 measured. Dr. Li who's in amongst you guys has  
16 done some of that and Dr. Li. Many others who have  
17 done those measurements for sure, so I wouldn't  
18 expect aerosols. By themselves I would expect if  
19 it's volatilizes it would immediately deposit.

20 **DR. GEORGE COBB:** Thank you for  
21 answering our questions and thank you for that

1 presentation and now we'll move to our next  
2 speaker who is Hua Qian.

3 **DR. HUA QJAN:** Yes, Hi everyone,  
4 this is Hua Qian. I'm exposure scientist with  
5 ExxonMobile Biomedical Science. I'm here to  
6 present exposure estimates and risk determinations  
7 based on enhanced by monitoring data for  
8 benchmarking EPA exposure modeling. EPA has  
9 preliminary concluded DIDP process on reasonable  
10 risk based on one condition of use. Following a  
11 screen level assessment that includes the multiple  
12 areas of conservativeness. While this is  
13 appropriate in a screen level assessment EPA  
14 should further refine their assumptions if they're  
15 going to determine unreasonable risk. So we  
16 recommend EPA use enhance by monitoring data as a  
17 bonding exposure estimates. For condition of use  
18 in the margin of exposure estimation because it  
19 represents exposure from both taxa and non-taxa  
20 exposure sources. Next slide, please

21 The CDC has been collecting the  
22 urine metabolized data for DINP and the DIDP since



1 2005 and 2006 cycle. And with the 2017 and 18  
2 being the most recent data cycle available for  
3 phallids. Hence is designed to provide the results  
4 representative to the U.S. general population and  
5 subpopulation. And by considering all the  
6 potential exposure sources through all relevant  
7 exposure pathways. And from the graph there you  
8 can see the total exposure levels to DIDP and DINP  
9 have been decreasing since their peak in 2011 and  
10 12, and we just showed the change of the DINP  
11 exposure level over time for the DINP just as  
12 example here and the 2017 and 18 this cycle can  
13 represent exposure levels today. And the 2011 and  
14 12 can be used as the high end exposure bonding,  
15 and then there are 95 percentile. And can be a  
16 benchmark highly exposed individuals due to taxa  
17 and or non-taxa sources. Next slide, please.

18 On this slide we outline daily  
19 intake levels calculated for the general  
20 populations. At the different life stages based on  
21 two separate methodologies. The value depicted for  
22 the 50th percentile so you can look at the top two

1 lines in the table. And are calculated using  
2 linear interpolation following the standard  
3 methods, and the value depicted as the median and  
4 its 95th up bound value are from a publication by  
5 US EPA center for computational toxicology and  
6 exposure. Office research and the development so  
7 the paper characterized the chemical exposure  
8 trends from Anhang's urinary bimonthine data by  
9 Sinterfield in 2024. And if you're focusing on the  
10 blue line in the table, you can see exposure  
11 levels based on the linear extrapolation methods  
12 about order of magnitude more conservative than  
13 those generated by the Bayesian methodology from  
14 the EPA's paper. Next one, please

15 Here we combine the exposure  
16 database on the linear interpolation methods the  
17 one I just mentioned before. It's more  
18 conservative than the EPA's methodology for the  
19 most recent Anhang cycle 2017 as well as the 2011,  
20 2012 as this cycle represent peak exposure to DMP  
21 and the DIDP which has been decreasing since then  
22 we propose the peak levels from 2011 and 12 can be

1 used as the upper bound estimation for individuals  
2 that include those exposure taxa condition of  
3 uses. Next one

4 This table shows the margin of  
5 exposure for both DINP and the DIDP for the two  
6 survey cycles and for US populations and there are  
7 different life stages. Risk estimates based on the  
8 high end exposure levels like 95th percentile are  
9 generally intend to cover individuals with  
10 sentinel exposure levels where risk estimates at  
11 the 50 percentile value exposure are generally  
12 presented for average or typical exposure level.  
13 In the table you can see the aggregate exposure to  
14 all sources both taxa and non-taxa yield the  
15 margin of exposure above the benchmark value of 30  
16 established by EPA at both today's exposure level  
17 and the peak exposure levels.

18 When benchmark against EPA's exposed  
19 estimates. This value should give EPA confidence.  
20 That realistic exposure and high-end exposure to  
21 both DINP and the DIDP from all sources do not

1 present unreasonable risk. Thank you for the  
2 opportunity to provide comments today.

3 **DR. GEORGE COBB:** Thank you other  
4 questions for Dr. Qjan. If not, thank you very  
5 much we'll move to our next speaker

6 **DR. RAYMOND DAVID:** Dr. Cobb, sorry  
7 to interrupt.

8 **DR. GEORGE COBB:** I see you, that's  
9 okay.

10 **DR. RAYMOND DAVID:** Hey, it took me a  
11 minute to find the button. I do have a quick  
12 question and two short questions just to put that  
13 conversation about NHANES data in context. First  
14 my understanding is that production volume of DIDP  
15 has actually been increasing since that last  
16 NHANES data cycle so that exposure trends may be  
17 up again. So I'll pause there if you have any  
18 response to that.

19 **DR. HUA QJAN:** Yeah, I think the  
20 latest NHANES survey cycle available for families  
21 in 2017 and 18. So that's the latest information  
22 we can. So if you are thinking about the recent

1 years like you know since pandemic and that it may  
2 not be available yet for us to make any of the  
3 observational comments on that.

4 **DR. RAYMOND DAVID:** Right. Thank you  
5 and then second question has to do with the  
6 metabolites of DINP and DIDP that are represented  
7 in the NHANES analyte list and so just when we  
8 back calculate to get to do the reverse dosimetry  
9 to figure out what the dust or total exposures  
10 were sorry total exposures. If we're not looking  
11 at every metabolite then there has to be a  
12 correction for that. So just wondering with the  
13 method with the data that you presented how that  
14 was done.

15 **DR. HUA QIAN:** I think for the  
16 linear tribulation, we're basically considering  
17 the metabolites which coming from the parent  
18 compound and also considering the urinary  
19 excretion rate and the cleaning level and the  
20 molecular weight for both the parent and the  
21 metabolites to do the back calculation. Then the  
22 reason we're comparing with the EPA's Bayesian

1 approach just to benchmark see. Because they do  
2 the whole literature mining to see the creator.  
3 They call the parent chemical and metabolites map.  
4 So by mining all the public match literature, so  
5 it should be more comprehensive. Then so the level  
6 from our approach is higher than the what has been  
7 published by the EPA using the Bayesian approach.

8 **DR. RAYMOND DAVID:** Okay, that's  
9 helpful, thank. And also sorry just add to the  
10 previous question you have regarding the reason to  
11 you know production of volume and but like when we  
12 see the pattern at least for the last couple  
13 cycles, we see actually 2011 and 12 actually reach  
14 to the high level concentration and it goes down.  
15 So that's why kind of we using 2011 and 12 data to  
16 benchmark or even the 95th percentile for the  
17 cycle to represent a high exposure level.

18 **DR. HUA QIAN:** So, okay, and we  
19 don't know then how 2011 12 sort of production  
20 volumes of DIDP compared to say the last couple of  
21 years. Jack thanks for the question.

22 **DR. GEORGE COBB:** Dr. Chaisson.

1                   **DR. CHRISTINA CHAISSON:** Yes they  
2                   have two questions. What if the production volume  
3                   goes up. I'm going to make an assumption here and  
4                   please, I'm asking you to correct it or to  
5                   elaborate on it. Even if a production volume goes  
6                   up for this kind of chemical that's used in  
7                   conjunction with different kinds of polymers.  
8                   Would it automatically mean that the exposure or  
9                   the amount that was available to pick up by  
10                  humans, would necessarily also be higher or would  
11                  the amount that was biologically available be  
12                  highly influenced by the type of polymer or the  
13                  type of use of the combination and related to that  
14                  question I saw reference to phthalates being used  
15                  in fracking. Can you speak to that? Thank you

16                  **DR. HUA QIAN:** Let me try to answer  
17                  the first question first. I think the second  
18                  question is beyond my knowledge scope or my  
19                  expertise to answer and so I think the first  
20                  question is not necessary depending on the use of  
21                  the phthalates. You're making right the increase  
22                  of the production volume depending on how you use

1 that the phthalates whether you're using the  
2 working environment or you actually become  
3 certain, why do you spread use and which the  
4 general public has more available to them so the  
5 level of exposure will be different because in the  
6 working environment and they have the engineer  
7 control they have other type of the control to  
8 make sure the exposure level actually is below the  
9 safe level for work population. And then the  
10 enhance should be using more as additional line of  
11 the evidence to provide information for the  
12 general public which may contain the worker and  
13 the consumer.

14 And the various of the different  
15 populations. So I think that the short answer is  
16 not necessary link to the production volume on the  
17 exposure it depending on the use and depending on  
18 the you know as you mentioned like whether some of  
19 the product may be in a design and the phthalates  
20 may not be easily migrated. So there's different  
21 elements and it's not simple one-to-one  
22 relationship. Thank you



1                   **DR. CHRISTINA CHAISSON:** What about  
2 uses in fracking? How prevalent is that with any  
3 of the phthalates? Do you know?

4                   **DR. HUA QIAN:** I have no idea.  
5 Sorry, that's beyond my knowledge.

6                   **DR. GEORGE COBB:** Sorry, I was  
7 meeting we'll move to our next speaker who is  
8 Nigel Sarginson. Did I get that close?

9                   **MR. NIGEL SARGINSON:** That's very  
10 good. Dr. Cobb. Thank you. Yeah, good afternoon.  
11 My name is Nigel Sarginson. I'm a senior advisor  
12 with person in Brussels working as consultants for  
13 ExxonMobil. My presentation concerns the low  
14 hazard potential of DINP for the male rat  
15 reproductive system. EPA has initially selected  
16 the HED of 12 milligrams per kilogram body weight  
17 per day based on decreased fetal testicular  
18 testosterone production for assessing risks from  
19 acute and intermediate duration exposure to DINP.  
20 Setting an HED on a key event upstream from  
21 adverse outcomes is reasonable. When the  
22 assumption that the intrinsic ability of the

1 substance to cause the downstream toxic effect are  
2 consistent with existing evidence. However, the  
3 assumption that DINP reduces testosterone to an  
4 extent necessary and sufficient to induce  
5 malformations on the reproductive tract is counter  
6 to the existing evidence. And it is my contention  
7 that EPA has not clearly characterized this  
8 evidence. How it informs confidence in the HED and  
9 how it lends to an overestimation of risk for  
10 acute and intermediate exposures to DINP. Turning  
11 to slide one

12 Apical outcomes associated with  
13 phthalate syndrome have either been inconsistently  
14 reported or not reported at all following exposure  
15 to DINP. Given that the short time today not all  
16 data can be shown, but I will emphasize three end  
17 points. The first is AGD. AGD is a sensitive  
18 indicator of in utero androgen levels. Such that a  
19 shortened AGD serves as a useful biomarker for  
20 biologically meaningful reductions in fetal  
21 androgen levels in the male programming window.  
22 DINP's ability to reduce AGD is shown on the top

1 right of the slide. Each color represents an  
2 individual study that evaluated AGD at the doses  
3 tested. The colors with black outlines indicate  
4 when a statistically significant finding was  
5 reported. As can be seen only at one dose in one  
6 study was there a statistically significant  
7 finding. Hence, the figure shows that  
8 overwhelmingly the data do not support an ability  
9 of DINP to induce a statistically significant  
10 measurable change in AGD. The low frequency low  
11 magnitude changes and lack of reproducibility of  
12 findings lend low confidence to a conclusion that  
13 DINP is able to induce effects on AGD.

14 Similarly gross male reproductive  
15 tract malformations such as crypt orchidism or  
16 hypospadias have not been reported in any studies  
17 of on DINP. The figure on the bottom right shows  
18 that for none of four studies have observed a  
19 significant increase in these outcomes. So by and  
20 large the weight of evidence reflects that DINP  
21 does not induce the adverse outcomes. Encompassed  
22 in rat thalidomide syndrome including hypospadias

1 crypt orchidism underdeveloped wolfian duct.  
2 Decreased accessory sex organ weight or impaired  
3 fertility. Now, of course the data set is not  
4 entirely void of observations. There are  
5 physically significant increase in tissue  
6 abnormalities. These have been reported in some  
7 studies at high doses of DINP.

8           However, the low frequency low  
9 severity lack of reproducibility in the nature of  
10 observations across studies does not allow ruling  
11 out of chance confounding factors or bias as an  
12 explanation for these outcomes. As well the high  
13 dose which at which effects have been observed  
14 must be considered when concluding on hazard  
15 potential particularly because saturation of DINP  
16 metabolism has been shown to occur at 750  
17 milligrams per kilogram body weight per day. If  
18 efficacy of DINP is maximized at 750 milligrams  
19 per kilogram body weight per day and DINP does not  
20 induce adverse outcomes in rat studies below this  
21 dose. This indicates that the concentration of  
22 DINP necessary and sufficient. To induce the

1 maximal cellular response necessary to manifest  
2 out adverse outcomes is not achievable. This calls  
3 into question the appropriateness of testosterone  
4 or rat phthalate syndrome in general as a pod in  
5 the risk evaluation for DINP.

6 In its weight of the evidence  
7 conclusion, EPA implies DINP can induce adverse  
8 outcomes downstream of androgen disruption. We  
9 request the SAC to advise EPA to clearly represent  
10 the weight of the evidence in relation to  
11 adversity and that the uncertainty in DINP's  
12 efficacy to induce toxic effects. Be better  
13 characterized in the pod justification. The next  
14 slide shows the key references relevant to the  
15 presentation. Thank you very much for your  
16 attention and thank you for the opportunity to  
17 present

18 **DR. GEORGE COBB:** Thank you for  
19 your presentation, and are there questions from  
20 the committee? Thank you. Seeing none, thank you  
21 again and we can move to our next speaker who is  
22 Chad Thompson from Talks Strategies.

1                   **DR. CHAD THOMPSON:** Mike can you hear  
2 me. Okay, so I'm, Dr. Chad Thompson I'm a senior  
3 managing scientist at Talk Strategies. I am  
4 speaking at the request today of ExxonMobil. The  
5 views expressed will be my own and I'm going to be  
6 presenting evidence that the DINP. PPAR $\alpha$  motive  
7 action and rodents is not relevant to humans. So  
8 you could skip to slide three, please.

9                   So once a mode of action has been  
10 established in rodents the human relevance of that  
11 mode of action can be assessed by answering two  
12 questions described in the IPCS human relevance  
13 framework. The first question is whether there are  
14 sufficient qualitative differences in rodents and  
15 humans that would preclude that mode of action  
16 from occurring in humans.

17                   The second question is whether there  
18 is evidence for quantitative differences that  
19 render the mode of action in rodents unlikely to  
20 occur in humans. In the case of the PPAR $\alpha$  mode of  
21 action for liver tumors an expert panel in Corten  
22 et al 2014. Concluded that there were some

1 lingering uncertainties that would prevent the  
2 panel from broadly concluding that the PPR mode of  
3 action cannot occur in humans based on potential  
4 qualitative difference.

5           However, if we go to slide four.  
6 There was a broad consensus that there were  
7 quantitative differences that supported that the  
8 PPAR $\alpha$  mode of action quote was not relevant to  
9 humans. And among those panelists that were  
10 hesitant to make absolute conclusions. They  
11 considered the mode of action as unlikely to be  
12 relevant to humans.

13           Slide five. This conclusion was  
14 further refined for weak PPAR $\alpha$  alpha activators as  
15 quote highly unlikely to be relevant to humans  
16 with one of the Examples provided being ethylate  
17 DEHP. Slide six. These conclusions in Corten et al  
18 2014 were subsequently reaffirmed by another  
19 expert panel in a publication by Felter et al  
20 2018. And the authors of an AOP wiki PPAR $\alpha$  induced  
21 liver tumors in rodents provide the strongest  
22 conclusion stating that the PPAR $\alpha$  mode of action

1 slash AOP has quote no potential application for  
2 human health risk assessment.

3 Slide seven. So the draft non-cancer  
4 human health hazard assessment for DINP concluded  
5 that the weight of evidence supports a PPAR $\alpha$  mode  
6 of action for DINP induced liver effects and  
7 rodents. EPA concluded that the DINP was not  
8 likely to be carcinogenic to humans at doses below  
9 levels that do not result in PPAR $\alpha$  activation.  
10 This conclusion is generally consistent with those  
11 previously mentioned regarding the overall lack of  
12 human relevance of this mode of action. However,  
13 there are DINP specific data that support making a  
14 chemical specific determination that the mode of  
15 action for this weak PPAR $\alpha$  activator lacks human  
16 relevance.

17 Slide eight. So qualitative  
18 differences exist between rodent and primate  
19 responses to DINP including associative events  
20 related to key event one, which is receptor  
21 activation. And key event three altered cell  
22 growth and survival as shown in this table.



1 Multiple studies demonstrate a lack of paroxysmal  
2 beta-oxidation in human and monkey hepatocytes.  
3 Which would be indicative of PPAR $\alpha$  activation nor  
4 has paroxysmal beta-oxidation in the paticellular  
5 hypertrophy been observed in vivo in monkeys  
6 exposed to DINP. Likewise downstream events such  
7 as altered cell proliferation and suppression of  
8 apoptosis have not been observed in human  
9 hepatocytes. And nor has increased the  
10 paticellular proliferation or increased liver  
11 weight been observed in exposed monkeys

12 Slide nine. So in addition to these  
13 qualitative differences quantitative differences  
14 exist between rodent and human PPAR $\alpha$  receptor  
15 activation by MINP, which is the primary  
16 metabolite of DINP. Here you can see species  
17 differences in the potency of MINP With regard to  
18 the PPAR $\alpha$  receptor activation in vitro. Where the  
19 activation of the human PPAR $\alpha$  occurs at a much  
20 higher concentration than in the mouse.

21 Slide 10. So in conclusion using the  
22 IPCS framework, There is qualitative and

1 quantitative evidence that the PPAR $\alpha$  mode of  
2 action for DINP lacks human relevance, And while  
3 it may be the case that EPA cannot conclude that  
4 the mode of action for all PPAR $\alpha$  activators lacks  
5 human relevance. The EPA could make a chemical  
6 specific determination that the PPAR $\alpha$  mode of  
7 action for DINP is not relevant for human health  
8 risk assessment. And the final slide are some  
9 references. For some of the slides and thank you  
10 for your time.

11 **DR. GEORGE COBB:** Thank you for  
12 that presentation, and other questions? Seeing  
13 none, I think we have one more presenter, and that  
14 is Paige Varner from the Environmental Defense.

15 **PAIGE VARNER:** Yep, thank you and  
16 thank you for making it with me to the end of  
17 these comments. My name is Paige Varner and I'm a  
18 scientist with Environmental Defense Fund. Thank  
19 you for the opportunity to comment.

20 While EPA claims have taken a  
21 pragmatic and conservative approach in the draft  
22 risk evaluation for DIDP. We believe that EPA has

1 instead underestimated exposures to and risk of  
2 DIDP particularly in vulnerable and highly exposed  
3 populations. This is first exhibited in EPA's  
4 failure to consider background DIDP exposures and  
5 cumulative exposures to other phthalates and  
6 developmental toxicants in the risk evaluation.

7 As mentioned previously EPA must  
8 account for background exposures to DIDP. These  
9 exposures include significant non-taxa sources of  
10 DIDP such as through personal care products and  
11 food and food packaging. For example studies such  
12 as the 2024 study by Shldrith et al have found  
13 that elevated concentrations of DIDP. Metabolites  
14 and black women that correlate with use of  
15 products such as perfume lotion makeup nail polish  
16 and vaginal powders and deodorants. FDA studies  
17 have also found DIDP and other phthalates in food  
18 packaging with other studies finding ultra-  
19 processed food is associated with urinary  
20 concentrations of DIDP metabolites

21 Additionally EPA's failure to  
22 account for cumulative exposures from other

1 phthalates and other developmental toxicants has  
2 also underestimated DIDP's potential for harm. It  
3 shares the common endpoint of developmental  
4 toxicity with numerous other phthalates as the  
5 national toxicology program concluded 25 years  
6 ago. EPA has already heard from the SAC that the  
7 best available science for chemical assessment  
8 includes consideration of cumulative risks and  
9 that such assessment is a necessary step under  
10 taxa. To comply with taxa's mandate to use the  
11 best available science and to complete a  
12 comprehensive assess assessment. EPA must at  
13 minimum consider the cumulative risk posed by co-  
14 exposure to DIDP and other phthalates. Either in  
15 the final DIDP risk evaluation or an EPA's pending  
16 phthalates cumulative risk assessment.

17 These background and cumulative  
18 exposures are especially important to consider for  
19 potentially exposed or susceptible populations who  
20 are at greater risk than the general population of  
21 adverse health effects. EPA failed to consider  
22 relevant populations that face greater exposure or

1       susceptibility. For example evidence shows that  
2       black and Latina pregnant people experience  
3       disproportionately higher exposures to phthalates  
4       including DIDP. Which is associated with shortened  
5       gestation lower birth weight and lower birth  
6       length of offspring compared to White people

7                       Further studies show that  
8       individuals with lower incomes are more highly  
9       exposed to phthalates including DIDP. Prevalent  
10      non-chemical stressors commonly experienced by  
11      these groups such as food insecurity and  
12      psychological stress from racial injustice also  
13      likely contribute to this disparity in health  
14      outcomes. EPA must use available data to  
15      characterize the increased risk to these groups in  
16      its final risk evaluation rather than relying on  
17      the interspecies uncertainty factor to account for  
18      these adverse exposures and risks. The  
19      interspecies uncertainty factor does not address  
20      increased risk faced by specific subpopulations  
21      due to greater exposure. Instead it is designed to

1 account for inherent variations in susceptibility  
2 within the general population of healthy adults.

3 Lastly for EPA's risk evaluation of  
4 DIDP EPA failed to consider information that it  
5 could possess or reasonably generate obtain and  
6 synthesize partly by not considering any studies  
7 after 2019 as part of its systematic review. In  
8 doing so EPA failed to consider important studies  
9 on DIDP. Such as the 2021 toxicokinetics study by  
10 Jiang et al that measured DIDP and its metabolites  
11 in urine suggesting urine as a major biomarker of  
12 DIDP. Another study by Zia et al in 2024 reports  
13 depression like behavioral changes in mice  
14 offspring falling prenatal exposure to both DIDP  
15 and ozone. Which supports the identification of an  
16 additional susceptible subpopulation as those who  
17 are also exposed to high levels of ozone. As it  
18 relates to the draft hazard assessment for DINP.  
19 EPA unreasonably dismissed benchmark dose modeling  
20 results based on liver endpoint variability and  
21 model uncertainty. Variability in liver endpoints  
22 is expected giving the broad range of liver

1 effects assessed along the toxicological  
2 continuum.

3 Further a BMD/BMD1 ratio above three  
4 does suggest model uncertainty. But this is not an  
5 established criterion for dismissing BMD modeling  
6 results. In fact, the use of a noel is itself a  
7 source of uncertainty. EPA should instead use the  
8 lowest BMD1 from its benchmark dose modeling  
9 rather than noel as a point of departure for  
10 chronic oral exposures to DINP. We have submitted  
11 these comments and more in written comments along  
12 with our justice to EPA in the SAC and thank you  
13 for your time.

14 **DR. GEORGE COBB:** All right, thank  
15 you for your comment, are there questions? Seeing  
16 none I think we can close the public comment  
17 section. Is that everyone? Alaa?

18 **DR. ALAA KAMEL:** Yes, this is  
19 everyone

20 **DR. GEORGE COBB:** Okay, well we  
21 are about 10 minutes before the planned adjourning  
22 time is that correct. Right, but

1                   **DR. ALAA KAMEL:** The agenda is  
2 flexible. Well, I'm not sure we want to. I think  
3 we're right on time for the agenda. So I do not  
4 think we should move into the charge questions  
5 today. I think we can begin that tomorrow. I do  
6 want to ask the committee quickly if there's  
7 interest in using some of the breakout groups  
8 rooms that we had talked about for zoom.

9                   **DR. CHRISTINA CHAISSON:** Dr. Cobb,  
10 this is Dr. Chaisson. I would appreciate it if  
11 people who are involved with one in particular  
12 would be able to use a breakout room to get some  
13 of our responses, I mean a little better organized  
14 for presentation tomorrow.

15                   **DR. GEORGE COBB:** Understood. I  
16 think that's feasible Dr. Otter you had your hand  
17 up.

18                   **DR. MARY ANN OTTINGER:** Yeah, same  
19 for me and I guess we have to sort out who is in  
20 which one and organize them.

21                   **DR. GEORGE COBB:** So which  
22 question for you, Dr. Otter?



1 DR. OTTINGER: I believe it's 2a. Let  
2 me double check. It would be 2a

3 DR. GEORGE COBB: So we have 1a  
4 and we have 2a Dr. David

5 DR. RAYMOND DAVID: And same for me  
6 2b and 2c

7 DR. GEORGE COBB: That's right.  
8 1a, 1b and 1c. Okay, Dr. David

9 DR. RAYMOND DAVID: I was just going  
10 to suggest that the lead discussions as we go  
11 through these. If they feel that there's need for  
12 some further discussion and maybe we can they can  
13 recommend that rather than necessarily all groups.

14 DR. GEORGE COBB: I agree. I  
15 agree. And so that's what I think that's what  
16 we're doing now. Did you have a need for your  
17 group?

18 DR. RAYMOND DAVID: I don't believe  
19 so. Okay. I think we're good. I think the ones and  
20 twos are the ones coming up sooner

21 DR. GEORGE COBB: So I have right  
22 now 1.a, 1.b, 1.c and 2. What was it? Marianne.

1                   **DR. MARY OTTINGER:** 2.a could I mean  
2 maybe I don't know when you want to do what I was  
3 going to suggest

4                   **DR. GEORGE COBB:**       Let's think that  
5 through for just a second. Dr. Fenner Crisp

6                   **DR. FENNER-CRISP:** My question to Dr.  
7 Otter that is 2a for DIDP not 2a for DINP, right?

8                   **DR. MARY OTTINGER:** Correct. Yes I  
9 know it's confusing

10                  **DR. FENNER-CRISP:** And I did have  
11 another question about availability of the  
12 commenters presentation sooner rather than later.

13                  **DR. MARY OTTINGER:** Yeah, it would be  
14 very helpful if we had them. Yeah I think. So we'd  
15 have at hand while we have our discussion.

16                  **DR. GEORGE COBB:**       I will try as  
17 best to get those to us as quickly as possible Dr.  
18 Li.

19                  **Dr. LI LI:** Actually, I just have a  
20 quick question. So tomorrow would for example the  
21 lead discussion need to read off all the comments  
22 from the whole group or they just read for example

1 some very brief summary and then invite every  
2 single one to present their own ideas

3 **DR. GEORGE COBB:** So we have found  
4 that it is most efficient for the lead to present  
5 the entire.

6 **Dr. LI LI:** The entire response. But  
7 you don't have to put all the detail, right? We  
8 can talk about this maybe offline either on the  
9 phone call or email, it doesn't have to have every  
10 bit of detail just so that the spirit of it is  
11 captured, right? But we can talk about this  
12 afterwards so that everybody in the public meeting  
13 isn't addressing this. Okay. Yeah. Okay. All  
14 right. Thanks.

15 **DR. GEORGE COBB:** Dr. Chaisson

16 **DR. CHRISTINA CHAISSON:** Yes, if Dr.  
17 Li is going to be in his own breakout then he  
18 won't be participating in the one we're having so  
19 Dr. Li to simplify this, why do we keep, there was  
20 a long list of things that you noted that were  
21 worthy of comment for 1.a.i. Okay, this gets  
22 complicated and what I'll do in the presentation

1 tomorrow is let you, I've summarized a lot of the  
2 pieces that are coming in but I will specifically  
3 ask you to take a look at that list and add that  
4 at the end for anything that wasn't addressed in  
5 the presentations today. Okay, or however you want  
6 to handle then thank you, and Dr. Li I'll join you  
7 in your breakout group

8 **DR. LI LI:** Yeah, actually that's  
9 also my question. I also got very long comments  
10 from some reviewers and I was wondering whether I  
11 need to.

12 **DR. GEORGE COBB:** Let's talk about  
13 this when we get into our session. Okay? That  
14 way we can get that arranged and then we can talk  
15 that through real quick as we get started. All  
16 right. Thanks. If the discussions for today are  
17 over and we can talk about the breakout sessions  
18 after the meeting has been adjourned. Thank you

19 I think we can adjourn for the day.  
20 Alaa are there things you would like to say as we  
21 close. That's all. If we're done then I would

1 say that this meeting is adjourned everybody can  
2 leave except this act.

3 **DR. ALAA KAMEL:** Please remain in the  
4 meeting. Thank you to everyone

5 **[MEETING ADJOURNED FOR THE DAY]**

6  
7 **OPENING OF MEETING DAY 2**

8  
9 **DR. ALAA KAMEL:** Good morning. My  
10 name is Alaa Kamel, and I will be serving as the  
11 designated federal official to the U.S. EPA  
12 Science Advisory Committee on Chemicals -- SACC --  
13 for this meeting. And in my role, I will be  
14 opening the second day of the public meeting on  
15 EPA's Draft Risk Evaluation for Di-isodecyl  
16 phthalate -- DIDP -- and the Draft Hazard  
17 Assessment for Di-isononyl phthalate -- DINP.

18 I'd like to repeat that the SACC  
19 meetings are subject to all FACA requirements, and  
20 this includes open meetings, timely public notice  
21 of meetings, and document availability to the  
22 public. All documents are available to the public

1 in the dockets at regulations.gov, and please see  
2 the docket number in the meeting agenda.

3 Also note that this meeting is being  
4 webcasted, transcribed, and recorded. Also, a  
5 livestream of today's meeting is available on  
6 YouTube -- and see the link on the meeting website  
7 listed in the agenda, which also has a link to  
8 yesterday's meeting.

9 Yesterday, we had a successful  
10 opening day, and I would like to thank the SACC,  
11 the ad hoc reviewers, the EPA team, and the public  
12 for their presentations and discussions. And now  
13 I hand it over to the chair, Dr. George Cobb.  
14 Thank you.

15 **DR. GEORGE COBB:** Thank you, Dr.  
16 Kamel. I would like to thank everyone, as well,  
17 for attending. And give EPA a little bit of a  
18 heads up, we have a couple of questions that we  
19 may want to clear first thing this morning. But  
20 we're going to take the roll first, and then we'll  
21 get to those questions. So starting off, I see  
22 Dr. Apte knows he's first up.

1 DR. UDAYAN APTE: Hi, I'm Udayan  
2 Apte. Am I introducing or just say yes, I'm here?

3 DR. GEORGE COBB: No, we're just  
4 taking a roll.

5 DR. UDAYAN APTE: Okay.

6 DR. GEORGE COBB: Uh, Dr. Baker.

7 DR. MARISSA BAKER: Here.

8 DR. GEORGE COBB: Dr. Chaisson.

9 DR. CHRISTINE CHAISSON: Present.

10 DR. GEORGE COBB: Dr. Eick.

11 DR. STEPHANIE EICK: Here. Good  
12 morning.

13 DR. GEORGE COBB: Dr. Fong. I'm not  
14 sure he's going to join. Dr. Gentry.

15 DR. ROBINAN GENTRY: Here.

16 DR. GEORGE COBB: Dr. Graham.

17 DR. CYNTHIA GRAHAM: Here.

18 DR. GEORGE COBB: Dr. Heiger-  
19 Bernays.

20 DR. HEIGER-BERNAYS: Here.

21 DR. GEORGE COBB: Dr. Jenkins.

22 MS. ALLISON JENKINS: Here.

1 DR. GEORGE COBB: Okay. Dr. Li. I  
2 think you were muted. Dr. Merced-Nieves.

3 DR. FRANCESKA MERCED-NIEVES: Good  
4 morning. Here.

5 DR. GEORGE COBB: Dr. Ottinger.

6 DR. MARY OTTINGER: Here.

7 DR. GEORGE COBB: Dr. Przybyla.  
8 She said she had an appointment. Dr. Reif.

9 DR. DAVID REIF: Here.

10 DR. GEORGE COBB: Dr. Jennifer  
11 Sahmel-Elliott.

12 DR. ALAA KAMEL: No, she's not  
13 attending.

14 DR. GEORGE COBB: Not attending -- I  
15 forgot to note that yesterday. Dr. David.

16 DR. RAYMOND DAVID: Here.

17 DR. GEORGE COBB: Dr. Fanning.

18 DR. ELINOR FANNING: Here.

19 DR. GEORGE COBB: Dr. Fenner-  
20 Crisp.

21 DR. PENELOPE FENNER-CRISP: Here.

22 DR. GEORGE COBB: Dr. Howdeshell.



1 DR. KEMBRA HOWDESHELL: Here.

2 DR. GEORGE COBB: All right. Dr.

3 Martinez.

4 DR. JEANELLE MARTINEZ: Good morning.

5 I'm present.

6 DR. GEORGE COBB: Dr. Schuman

7 Goodier.

8 DR. MOLLY SCHUMAN-GOODIER: Good

9 morning. Here.

10 DR. GEORGE COBB: And Dr. Spade.

11 DR. DAN SPADE: Here.

12 DR. GEORGE COBB: And Dr. Wolf.

13 DR. DOUGLAS WOLF: Present.

14

15 **PANEL MEMBERS: FOLLOW-UP ON PREVIOUS DAY**

16

17 DR. GEORGE COBB: All right. Seems

18 like we have most of our folks here -- almost all.

19 I do want to circle back. A couple of people sent

20 emails last night or this morning about a couple

21 of clarifying questions. So I'd like to open it

1 up to the Committee to see if there are clarifying  
2 questions about the presentations from yesterday.

3 **DR. CHRISTINE CHAISSON:** I've got a  
4 comment. I can't find it.

5 **DR. GEORGE COBB:** Oh. Go --

6 **DR. CHRISTINE CHAISSON:** (inaudible  
7 00:05:18) Here's the little hand, here we go.

8 **DR. GEORGE COBB:** -- go ahead, Dr.  
9 Chaisson. Please, go ahead.

10 **DR. CHRISTINE CHAISSON:** Everything  
11 seems to change positions on my computer. Anyway,  
12 thank you very much. Let me just pull up -- just  
13 a moment please. Okay. Thank you.

14 I've collected together, from our  
15 different Committee individual reports, questions  
16 that have come up that I don't think we've  
17 discussed yet, so there are several of them. I'll  
18 just go through this.

19 One was from line 351 of the report  
20 -- "The CEM model has been peer-reviewed. Could  
21 EPA provide a reference for this? Is it public?  
22 Is that peer-reviewed report publicly available?

1           The only peer review that was  
2 mentioned online was from 1999, presumably, since  
3 EPA is using a 2023 version. There must be  
4 others. So could EPA please provide for the  
5 Committee whatever is publicly available about  
6 that peer review?"

7           And the same question arose from the  
8 Exposure Handbook because values taken from that  
9 were an issue that EPA commented on regarding  
10 current weights of people as an example. "When was  
11 the last update on those kinds of factors?"

12           The next question is -- I'm sorry,  
13 just a second. "A CEM was not used for dermal  
14 exposure. And in the separate spreadsheet, did the  
15 HC use degrading absorption and transfer  
16 coefficients with repeated exposures? So that  
17 meant repeated exposures to the same medium.

18           Are the dynamics of leaching  
19 understood for different plastics and other  
20 matrices, such as cellulose, which is in increased  
21 use for the phthalates -- with the phthalates --  
22 from which the different phthalate skin escape

1 under conditions of aging, structural stress, and  
2 temperature.

3 What were those things factored into  
4 the exposure scenario? Could EPA summarize how  
5 that was represented in the Draft Consumer  
6 Exposure Analysis?"

7 The next question was related to  
8 that. It has to do with chemical migration rates,  
9 in Section 5, derived from surrogate data. "Could  
10 you point to where the surrogate data are  
11 identified and extracted for use here?"

12 A similar question is "As migration  
13 proceeds over time, if migration dynamics change  
14 and the distribution of values of the migration  
15 rates can be front-loaded in relation to  
16 plasticizer concentrations in product -- but also  
17 degradation of product plastic in aged toys, et  
18 cetera -- this is an important factor in exposure  
19 assessment, particularly to workers and the public  
20 exposed as bystanders -- could this be delineated  
21 for us?"

1                   If in fact, by the way, what I'm  
2 asking for is already in the documents, and we  
3 just missed it -- which is possible -- if the EPA  
4 could just give specific directions to where it's  
5 at -- assuming that the questions are fully  
6 clarified in those"

7                   The clarification came up several  
8 times from different people, asking for  
9 clarification about the domain of FDA for  
10 regulation. Do the FDA risk assessments consider  
11 the exposure scenarios mandated by TSCA.

12                   For example, for food uses, would  
13 the exposure risk scenarios of manufacturing,  
14 distribution, transportation, disposal, et cetera,  
15 be considered in the same way that FDA -- as EPA  
16 would do under TSCA? Is task identification part  
17 of the FDA regulatory consideration? If not, can  
18 EPA assess the scenarios not covered by FDA?"

19                   **DR. GEORGE COBB:** Wait. Chris --

20                   **DR. CHRISTINE CHAISSON:** Yeah?

1 DR. GEORGE COBB: -- please ask  
2 questions and don't provide direction. We need to  
3 ask questions that the --

4 DR. CHRISTINE CHAISSON: Right.

5 DR. GEORGE COBB: -- EPA can answer,  
6 not --

7 DR. CHRISTINE CHAISSON: Right.

8 Well, I'm at --

9 DR. GEORGE COBB: -- not expound on  
10 that.

11 DR. CHRISTINE CHAISSON: -- I  
12 thought I was. Sorry, Dr. Cobb. I thought that  
13 was what this was.

14 "Are medical devices covered under  
15 TSCA in the same way or just FDA?" Also, the same  
16 question came up for cosmetics. And "Are  
17 phthalates used in food context zones? There was  
18 a regulatory action in 2006, but subsequent  
19 information does not seem to be consistent with  
20 that."

21 Let's see. "EPA based confidence in  
22 weight fractions for different products, using the

1 comment that it is more current or less current.  
2 Is there a generalizable trend that EPA uses in  
3 the rate of DINP use across product categories  
4 that could be used to deduce some certainty?"

5 And the last question is "Are  
6 phthalates used in hydraulic fracturing for oil  
7 and gas, and if so, where is this included?" And  
8 I think I've summarized all of our questions. So,  
9 Dr. Cobb, thank you.

10 **DR. GEORGE COBB:** Thank you, Dr.  
11 Chaisson. And EPA, we'll turn it over to your  
12 response. I also understand that you have some  
13 responses to previous questions. And you can  
14 start at either place at your discretion.

15 **DR. CHRISTINE CHAISSON:** Are you  
16 speaking to EPA?

17 **DR. GEORGE COBB:** Yes, to EPA.

18 **DR. CHRISTINE CHAISSON:** Oh. Okay.

19 **DR. GEORGE COBB:** They were going to  
20 respond to a question that you and Dr. Fenner-  
21 Crisp -- or questions that you and Dr. Fenner-  
22 Crisp had yesterday, I believe.

1 DR. CHRISTINE CHAISSON: Okay.

2 DR. ANNA LOWIT: So good morning,  
3 everyone. Anna Lowit, Senior Science Advisor. So  
4 Tony, if you can get on and start --

5 DR. GEORGE COBB: Dr. Lowit, we can  
6 barely hear you.

7 DR. ANNA LOWIT: Thanks. Sorry.  
8 Tony Luz, if you can get on, let's start with the  
9 ones from yesterday. So Dr. Chaisson, we  
10 appreciate all those questions. It's a fairly  
11 lengthy list of questions.

12 DR. CHRISTINE CHAISSON: Mm-hmm.

13 DR. ANNA LOWIT: And I'm afraid you  
14 were going so fast that we probably missed some.  
15 It looks like you're reading off of something. Is  
16 it possible to share what you were reading off  
17 with Dr. Kamel, and then --

18 DR. CHRISTINE CHAISSON: Certainly.

19 DR. ANNA LOWIT: -- we can transmit  
20 that and have our team work on those and get back  
21 to you later?



1           **DR. CHRISTINE CHAISSON:** Absolutely.  
2 I'll send it right away.

3           **DR. ANNA LOWIT:** Okay. And just  
4 recognize -- some of the FDA questions, we're not  
5 going to know the answer to. But we'll do our  
6 best.

7           **DR. CHRISTINE CHAISSON:** I know you  
8 will. Thank you.

9           **MR. ANTHONY LUZ:** Thank you Dr.  
10 Chaisson and Dr. Cobb. This is Tony Luz with EPA.  
11 I just want to read into the record a couple of  
12 EPA's responses to questions that were asked to  
13 EPA yesterday, and we didn't have the information  
14 about one on the spot (inaudible). So I'm just  
15 going to read our response into the record.

16                       So first, in response to a question  
17 from Dr. Fenner-Crisp regarding the study by Cho  
18 et al 2011 of Wild Type and RAG H2 -- transgenic  
19 mice exposed to DIDP for 26 weeks.

20                       So pertaining to dose selection in  
21 that study, Cho et al 2011 states "As a prelude to  
22 conducting the 26-week carcinogenesis study on

1 DIDP, a 4-week repeated dose toxicity study was  
2 carried out on RAG H2 wild type mice to survey the  
3 target organs and sock the doses to be used for a  
4 26-week carcinogenicity study.

5 As a result, the MTD was limited to  
6 1 percent DIDP in diets, as there were deleterious  
7 effects on body weight, organ weights, and  
8 clinical chemistry in higher doses.

9 Therefore, 1 percent of DIDP was  
10 selected as the high dose level, where a certain  
11 level of toxicity was expected. The low dose was  
12 set at 0.1 percent with the estimation to provide  
13 information on the NOAEL. The mid-dose level of  
14 0.33 percent is approximately the geometric mean  
15 between the low- and high-dose levels.

16 Also, in response to the second  
17 question from Dr. Fenner-Crisp, EPA discusses the  
18 study by Cho et al 2011 on several sections of the  
19 Draft Human Health Hazard Assessments for DIDP in  
20 Appendix C.2 of the Draft DIDP Hazard Assessments.

21 In that section, we state "Cho et al  
22 fed male and female wild type mice diets

1 containing 0.0 or 1.0 percent DIDP, the equivalent  
2 to approximately 1500 milligrams per kilogram per  
3 day in male and female transgenic RAG H2 mice:  
4 0.0, 0.1, 0.33, and 1.0 percent DIDP, equivalent  
5 to approximately 150, 495, and 1,500 milligrams  
6 per kilogram per day for 26 weeks.

7 The most significant effects on  
8 survival were reported at any dose for wild type  
9 or RAG H2 mice of either sex, and wild type mice  
10 terminal body weight was reduced by 27 and 12  
11 percent in male and females, respectively. Liver  
12 effects included an increase in relative liver  
13 weight in male and female mice -- 59 to 72 percent  
14 increases.

15 Lesions of increased incidence  
16 included a podocyte hypertrophy with eosinophilic  
17 granules in both sexes and parenchymal  
18 inflammation, pigmented hepatocytes, pigmented  
19 Kupffer cells, and prominent Kupffer cells in  
20 males. That's provided in Appendix Table C-10. A  
21 non-displacing significant increase in the

1 instance of (inaudible 00:16:45) focal necrosis  
2 were observed in males as well.

3 Similarly, in RAS H2 mice, terminal  
4 body weight was reduced by 31 and 15 percent in  
5 males and females, respectively. Relative liver  
6 weight was increased 15 to 52 percent for mid- and  
7 high-dose males and 35 percent for high-dose  
8 females.

9 Lesions with increased incidence  
10 included parenchymal inflammation in females,  
11 hepatocyte hypertrophy with eosinophilic granules  
12 in both sexes and philcamatroceous pigmented  
13 hepatocytes, pigmented Kupffer cells, and  
14 prominent Kupffer cells in males. That incidence  
15 data is provided in Appendix Table C-10.

16 We also want to respond to another  
17 question from Dr. Chaisson, who requested  
18 additional information regarding the COUs being  
19 evaluated for other high-priority phthalates.

20 So in the draft proposal for  
21 cumulative risk assessments of phthalates under  
22 TSCA, EPA presents an overview of COUs for DINP,

1 DEHP, DBPD, DIBP, DBPN, PCHP -- as presented in  
2 the final scope documents for each -- phthalates  
3 in Table 6-1.

4 These aren't final, but provide a  
5 good overview of COUs in the Draft Phthalate  
6 Cumulative Proposals available in the docket. And  
7 that's the end of EPA's response to those two  
8 earlier questions from yesterday.

9 **DR. GEORGE COBB:** Thank you, Dr.  
10 Luz. I really appreciate you taking the time --  
11 you and your team taking the time -- to pull those  
12 answers together and presenting them here early in  
13 the morning today. And I gather that the response  
14 to Dr. Chaisson's questions will be forthcoming as  
15 you are able to pull those responses together. Is  
16 that correct?

17 **MR. ANTHONY LUZ:** Yes. Yeah. No, I  
18 appreciate you being able to provide them and  
19 narrating to -- to Alaa and the rest of the team  
20 involved. We do our best to get responses as  
21 quickly as possible.

1                   **DR. GEORGE COBB:** Thank you. I  
2 appreciate that.

3                   **MR. ANTHONY LUZ:** Yes. Thank you.

4                   **DR. GEORGE COBB:** Dr. Chaisson, your  
5 hand is still up. Is that a residual, or do you  
6 still have a question?

7                   **DR. CHRISTINE CHAISSON:** Sorry.  
8 I'll get it down.

9                   **DR. GEORGE COBB:** Don't worry.

10                  **DR. CHRISTINE CHAISSON:** Fair, since  
11 I figured out how to do that. Thank you.

12                  **DR. GEORGE COBB:** Don't worry. So  
13 are there any other questions? Uh, Dr. Heiger-  
14 Bernays.

15                  **DR. WENDY HEIGER-BERNAYS:** Yes,  
16 thank you.

17                  **DR. GEORGE COBB:** Yep.

18                  **DR. WENDY HEIGER-BERNAYS:** Yes.  
19 Thank you. This is a straightforward one. In the  
20 COUs, were nail salon workers -- or in the  
21 occupational exposures -- were nail salon workers  
22 considered? That's all.

1                   **DR. GEORGE COBB:** I think we're  
2 waiting for a response.

3                   **MR. ANTHONY LUZ:** Hi, this is Tony  
4 with EPA. Thanks for your question, Dr. Heiger-  
5 Bernays. For DIDP, that did not show up in the  
6 surrogates.

7                   **DR. WENDY HEIGER-BERNAYS:** Well,  
8 thank you very much.

9                   **DR. GEORGE COBB:** Are there other  
10 clarifying questions from the EPA's presentation?  
11 All right. Being none, I think it is time to move  
12 into the charge questions. And we are at Charge  
13 Question 1.a, related to the DIDP assessment. And  
14 I'll turn it over to EPA to read the question into  
15 the record.

16  
17                   **CHARGE QUESTIONS FOR DIDP RISK EVALUATION**

18                   **1. EXPOSURE ANALYSES**

19  
20                   **MR. ANTHONY LUZ:** Thank you, Dr.  
21 Cobb. This is Anthony Luz with EPA. So now we're  
22 reading in Charge Question 1.a. So EPA relied on

1 data from several sources to derive consumer  
2 exposure estimates that include products  
3 representative of the conditions of use, as  
4 described in Section 1, 2, and 3 of the Draft  
5 Consumer Indoor Dust Exposure Assessments for  
6 DIDP. EPA anticipates that the exposure  
7 methodologies demonstrated in the Draft Risk  
8 Evaluation for DIDP will be applicable to DINP  
9 exposure scenarios.

10  
11 **CHARGE QUESTION 1.a.i**

12  
13 Charge Question 1.a actually has  
14 five subparts. So I'll now read the first  
15 subpart. So "Please comment on the strengths and  
16 uncertainties of deflected data and methods used  
17 in consumer products in indoor air exposure  
18 analyses." Thank you.

19 **DR. GEORGE COBB:** All right. And we  
20 have a team of discussants evaluating this  
21 question, and Dr. Chaisson is the lead. So we'll  
22 go to her, and then we'll go through all the



1 discussants, and then we'll go to the full  
2 Committee for comments. Dr. Chaisson.

3 **DR. CHRISTINE CHAISSON:** Dr. Cobb,  
4 could you -- I have to excuse myself for one  
5 second. There's construction going on. I'm going  
6 to ask these people to stop it for a few minutes.  
7 Hold on one second. Hey guys, you have to stop  
8 pounding. You have to stop.

9 **DR. GEORGE COBB:** So folks, I  
10 apologize. And we could go into another charge  
11 question, but Dr. Chaisson is the lead for several  
12 charge questions in a row. So we just have to  
13 pause for a second. Ah, you're back. Hey, Chris,  
14 you --

15 **DR. CHRISTINE CHAISSON:** My  
16 apologies. My apologies. There was some major  
17 noise going on -- I just stopped it -- from  
18 construction. Of course, they didn't start until  
19 a few seconds ago. So okay, let's see here.  
20 Okay. Dr. Cobb, can you hear me? Hello?

21 **DR. UDAYAN APTE:** Yes, we can hear  
22 you.

1                   **DR. CHRISTINE CHAISSON:** Okay.  
2                   Thank you. First of all, our community commends  
3                   EPA for their professionalism in creating and  
4                   presenting the phthalate assessment, recognized as  
5                   the effort to consider perspectives of the public  
6                   comment or -- and those of SACC. Dr. Freedhoff's  
7                   message strengthened our resolve to provide our  
8                   best instructed advice to these scientists and the  
9                   EPA leadership. Our Committee has not yet  
10                  finished organization and detailed articulation of  
11                  our comments. Our written reply will contain more  
12                  detail on our points and references.

13                         The strengths that we noted -- we  
14                         appreciated that EPA calculated ranges -- low,  
15                         medium, and high -- in lieu of more -- in lieu of  
16                         other methods. This is a good substitute -- much  
17                         better than considering only a single value.

18                         However, it does not deliver a most  
19                         likely value -- akin to a median exposure -- for  
20                         different age groups or view and use of skewed  
21                         distributions -- a common situation for exposure  
22                         assessment factors.

1           Also, see Section 4.4 -- issues of  
2           assumptions, deterministic methods -- such as  
3           using only single values to represent a  
4           distribution of plausible values -- and other  
5           issues show, indeed, the limitations of estimates  
6           from the CEM.

7           Note, it's excellent that EPA did  
8           this analysis and showed it transparently --  
9           discussed the sources of the differences. We  
10          certainly don't want to discourage EPA from that  
11          kind of conversation.

12          The differences were 1 to 2 orders  
13          of magnitude, which needs attention. This shows  
14          the importance of the use of modeled distributions  
15          for each value, especially where highly skewed  
16          distributions are realistic for life changes, or  
17          venue changes, or even activity level differences.  
18          And this emphasizes the need for a different kind  
19          of modeling methodology, which can handle full  
20          distributions of the values.

21          We commended EPA on its  
22          consideration of children in the dust exposure.

1 We thought that was quite good. Section 5, pages  
2 115 to 116 -- this was a discussion of rat versus  
3 human dermal absorption.

4 Elements of variability were noted  
5 here, but also, there will be differences in human  
6 dermal absorption because of the area of the body  
7 being exposed, temperatures, age of some of the  
8 humans, et cetera.

9 EPA used conservative estimates,  
10 which were reasonable under these circumstances.  
11 We invite others in the SACC, beyond our  
12 subcommittee, to comment on this, as there are --  
13 maybe -- additional information they could  
14 provide.

15 It's excellent that indoor dust  
16 inhalation was carefully considered -- and the  
17 ingestion. But note that dermal contact is also  
18 important. This is discussed again in other  
19 sections. The same should also be done for people  
20 in vehicle environments.

21 Values used in the calculations and  
22 frameworks for the dose rate calculations were

1 reasonable for time in integrated doses, given  
2 model limitations to utilize such data. And the  
3 professional judgments of the scientists seemed  
4 reasonable.

5           Although this doesn't deliver actual  
6 distributions of likely exposures -- newer  
7 exposures for all routes are actually computed for  
8 each exposure opportunity -- the exposures  
9 presented are likely representative, assuming all  
10 of the COUs are actually considered and groupings  
11 of products into each COU scenario didn't  
12 "disguise a sentinel COU situation."

13           Uncertainties presented in these  
14 documents -- the scenarios included in this  
15 assessment may not reflect all of the conditions  
16 listed in the review mandate.

17           For example, there is no mention of  
18 exposure related to product transportation and  
19 market dynamics of today -- and tomorrow -- for  
20 product delivery to consumers, including handling  
21 of massive quantities of the products, newly  
22 minted and wrapped in phthalate-rich materials to

1 contain and stabilize products on slabs in the  
2 distribution centers.

3 Thousands of workers in these  
4 centers are touching the materials, breathing the  
5 dust, taking the dust home -- that's a track-back  
6 issue -- and experiencing long durations of  
7 exposures daily.

8 The next point was -- phthalates are  
9 used extensively in all electronics and printers  
10 and inks. At least two scenarios of exposures may  
11 be relevant -- large office areas and computing  
12 centers -- including the air evacuation systems  
13 operating to vacate working areas, covering about  
14 a million square feet each, for dust removal and  
15 cooling systems for those massive centers.

16 At least a qualitative discussion --  
17 a recognition of the need for data in these major  
18 new exposure scenarios across the country -- would  
19 be good to have in a report like this.

20 Experts on those facility designs  
21 and phthalate use in electronics could be  
22 contacted to consider this. Even if the EPA

1 decides there's little likely exposure, including  
2 the environment and nearby residents -- and the  
3 dust in those homes -- a conversation about this  
4 is recommended.

5 This new reality in our market  
6 system is imposing new environmental challenges to  
7 both rural and urban areas and are so big that  
8 they deserve attention and regulatory science and  
9 reflection on the regulatory covering  
10 transportation and distribution activities.

11 More detail and references will be  
12 in the written report -- in our written report.  
13 The point is that the EPA documents seem to  
14 overlook the stated scenarios required in the  
15 review.

16 Next point -- as with previous SACC  
17 reviews -- oops, excuse me; I'm sorry -- as with  
18 previous SACC reviews, we appreciate the efforts  
19 EPA scientists make with the deterministic  
20 spreadsheet approaches to these assessments.

21 But we implore EPA to provide state  
22 of the art statistical and modeling tools,

1 including Bayesian statistics, which can easily  
2 handle full distributions of data -- any exposure  
3 duration that is appropriate to hazard metrics and  
4 provide aggregated exposure estimates reporting  
5 relative contributions from different exposure  
6 opportunities over different conditions of  
7 exposure and to different subpopulations.

8 We recognize this can't be completed  
9 for the phthalate regulatory assessment and  
10 decisions, but hope the EPA leadership will  
11 seriously consider this issue as has been raised  
12 before. As previously stated, exposure estimates  
13 are likely representative of the general  
14 population, assuming all of the COUs and resulting  
15 scenarios are actually considered and groupings of  
16 the products don't disguise the sentinel.

17 This issue for exposures via water -  
18 - both drinking and full body -- from water  
19 contamination, including down-the-drain releases  
20 to environmental media and into water sources --  
21 was only qualitatively assessed.



1                   But even that discussion did not  
2 address exposures consequential to the  
3 contamination of particles and sediments of water  
4 bodies. Or we didn't understand that to be the  
5 connections -- such as fishing, crabbing, and  
6 oyster consumption -- in a quantitative way for  
7 these biota.

8                   This was discussed in more detail by  
9 Dr. Barton's public comments submission.

10                   While fish consumed by Native  
11 Americans may indeed be worthy of a separate  
12 consideration, other substances -- I'm sorry --  
13 other subsistent populations derive a significant  
14 amount of their food from shallow surface water  
15 bodies -- brackish water sources.

16                   Consider the coastal regions of the  
17 Gulf of Mexico. Pest communities there are not  
18 all tribal, but their foods include all types of  
19 birds in swampy areas -- shrimp, fish, and other  
20 aquatic animals, including alligators -- as  
21 typical foods.

1 Consider the coastal communities of  
2 the Chesapeake Bay, for example, and equivalent  
3 communities in Northwest and Northeastern coasts,  
4 where consumption of bivalves is constant and  
5 significant. Bivalves are filtering those waters.

6 A contaminant that becomes  
7 sequestered into the water body sediment cannot be  
8 considered to be inconsequential to human or  
9 environmental risk or permanently sequestered.

10 Consider the opposite issues of the Hudson River,  
11 which was extensively studied over decades by EPA.

12 Today's realities may impose  
13 additional issues, including some areas where  
14 daily freshwater flooding takes place, displacing  
15 sediment into newly exposed venues in the  
16 environment and foods -- and let me see --  
17 exposing biota in all forms of animals.

18 The next point in line 315 and 316 -  
19 - "EPA did not perform quantitative assessments of  
20 the COU summarized in Table 2.2 due to lack of  
21 recently available information, monitoring data,  
22 and modeling tools." This is such a big

1 possibility for widespread and significant  
2 exposure via dermal and ingestion. It should be  
3 explored further.

4 Next point, we commend EPA for  
5 considering the Rivian DU Netherlands and IPH  
6 (phonetic 00:34:32) and ECCA evaluations for sub  
7 values. However, an overall comparison of the  
8 exposure assessment conclusions -- the product  
9 groupings across these regulatory authorities --  
10 were not provided and are likely to be somewhat  
11 different.

12 In a related issue, data quality --  
13 as a function of possible differences between U.S.  
14 and Canada -- was cited as a potential problem  
15 regarding monitoring data for DIDP in residential  
16 indoor dust -- that's page 126, lines 2181 to 2190  
17 -- but no perspective given about why EPA suspects  
18 the problem denigrates the use of the information.

19 Were there regulatory positions on  
20 the use of the phthalates over that time period as  
21 compared to U.S. regulations? Or what information

1 -- not assumptions -- led to the concerns about  
2 U.S. Canadian product use for phthalate content?

3 It could be assumed that these  
4 products and uses are very similar, even provided  
5 by the same manufacturers in many cases -- so why  
6 the downgrade? Also, for evaluations done by  
7 these other authorities, including Canada, were  
8 product groupings the same as EPA, as that will  
9 certainly affect the exposure scenarios.

10 There were other methodological  
11 issues detailed. I've collected these together.  
12 Lines 1131 to 1141, on page 45, Section 3.1, EPA  
13 calculated dermal absorption of DIDP in consumer  
14 products or articles first migrating into the  
15 layer of aqueous phase on the product or article  
16 surface to form a saturated solution.

17 And two, the human skin absorbs DIDP  
18 from this saturated solution. This is the reason  
19 that EPA used to equate in 2-24, which was  
20 originally designed to calculate dermal exposure  
21 to chemicals in water by the EPA's risk assessment

1 guidelines for Superfund Volume I Human Health  
2 Evaluation Manual.

3 DR. GEORGE COBB: Okay.

4 DR. CHRISTINE CHAISSON: However --

5 DR. GEORGE COBB: The last one?

6 DR. CHRISTINE CHAISSON: Yeah.

7 DR. GEORGE COBB: Good. We're still  
8 indoor air -- consumer products and indoor air  
9 exposure. Is this still on that charge question?

10 DR. CHRISTINE CHAISSON: Yes, I  
11 think.

12 DR. GEORGE COBB: Okay. Just -- okay  
13 --

14 DR. CHRISTINE CHAISSON: As far as I  
15 know.

16 DR. GEORGE COBB: -- please continue  
17 then. Please continue.

18 DR. CHRISTINE CHAISSON: The member  
19 who submitted this has several pages of these  
20 methodological problems. If it's okay with you,  
21 Dr. Cobb, I think we've gotten -- made the point.

22 DR. GEORGE COBB: Please.

1           **DR. CHRISTINE CHAISSON:** How about I  
2 just include this in the written part? Would that  
3 be okay?

4           **DR. GEORGE COBB:** You can proceed.  
5 I started hearing about the dermal exposure, which  
6 is another question. And I wanted to make sure  
7 that was -- if you --

8           **DR. CHRISTINE CHAISSON:** Well, this  
9 came up in CEM model --

10          **DR. GEORGE COBB:** It's all good.  
11 Please proceed.

12          **DR. CHRISTINE CHAISSON:** -- which we  
13 interpreted as part of this question. So --

14          **DR. GEORGE COBB:** Yeah. Surely.  
15 Please proceed.

16          **DR. CHRISTINE CHAISSON:** -- okay.  
17 Well, nevertheless, there's a very detailed  
18 discussion of these factors used in CEM models  
19 user guide. Would it be appropriate -- should I  
20 read this into the record? Or would it be  
21 appropriate to just include it in the written  
22 part?

1           **DR. GEORGE COBB:** You don't have to  
2 read each issue in, but you can broadly state what  
3 those are. And I'm not trying to shortcut the  
4 discussion. I simply wanted to make sure it was  
5 on this particular charge question.

6           **DR. CHRISTINE CHAISSON:** Well, this  
7 all has to do with calculations for human skin  
8 absorption as used in CEMs. So I'll submit this  
9 as -- these details -- as part of the written  
10 record, recognizing that we -- I'm not trying to  
11 diminish the importance of this. It's just very  
12 detailed, as we think that this relates very much  
13 to the weighted calculations that were made in the  
14 CEM.

15           **DR. GEORGE COBB:** Okay.

16           **DR. CHRISTINE CHAISSON:** So I'll  
17 skip forward on that.

18           Regarding biodegradation, a more  
19 thorough explanation of half-life is needed in  
20 these discussions. Half-life does not indicate a  
21 time to decrease toxicity by 50 percent. To what  
22 extent has the transfer information of DIDP to

1 model isodecyl phthalate been assessed in the  
2 context of this fox trail of events of half-lives  
3 and the influence of a half-life or availability  
4 of toxic transformation products?

5 Next, on page 12, lines 204 to 205,  
6 the major concern is that there are no NOOS data  
7 upon which to base environmental releases for  
8 DIDP. This lack of information is inconsistent  
9 with the call for expedited review of the two  
10 compounds. Any chemical deserving expedited  
11 review should have ample supporting information in  
12 the publicly available domain.

13 Alternatively, the users and  
14 producers should be required to provide the needed  
15 data. This is appropriate for other data  
16 uncertainties and gaps, given the policies for EPA  
17 decision-making. The requester should provide the  
18 information.

19 The evaluation of releases to water  
20 was a single study of phthalates in a single river  
21 in China. This is insufficient information upon  
22 which to make any reasonable assessment of the



1 environmental exposures that would be expected for  
2 people or non-human organisms.

3 If there are more data describing  
4 DIDP releases to water, they need to be  
5 documented. Excuse me. There is a study from  
6 2023 that would add some breadth to this  
7 evaluation. That's Belroy Kinkera (phonetic  
8 00:41:04) et al 2023.

9 Anticipated exposure estimates  
10 should be developed for scenarios where people and  
11 animals, especially those hunted or fished --  
12 including bottom dweller depth shellfish -- are  
13 exposed. The assessments can be constructed as  
14 sentinel with factors derived from past  
15 consumption values. See the Barton comments as  
16 sentinel exposures -- Plausible and Probable  
17 Exposure Scenarios Today.

18 The same should be done for  
19 selective sentinel representative scenarios for  
20 the consequence of flooding. We'll expand on this  
21 in our written comment. Notably, even if EPA  
22 thinks that this will not be a risk concern, the

1 calculations should be done and presented to the  
2 public.

3 Bottle liners, water bottles, and  
4 other food containers should be specifically  
5 noted, given their high use in the United States.  
6 Migration factors, recognizing product age,  
7 plastic bases, heat environments, frequency of  
8 shape distortion, using -- repeated uses -- this  
9 comment is consistent with the discussion on the  
10 other phthalates as well.

11 We asked earlier a question about  
12 the hydraulic fracking that's come up, but without  
13 much explanation. If phthalates are actually  
14 used, the extent of that use and its possible  
15 impact on exposure needs to be considered.

16 The Committee is concerned that  
17 there are gaps in the overall approach in  
18 selecting products to model and parameters for  
19 modeling that may result in a failure to capture  
20 the high exposure pest theories.

21 This will be discussed in detail in  
22 our written report, but it will always include the

1 overall responsiveness to the TSCA elements for  
2 review -- absence and pathway related to product  
3 distribution and transportation, particularly.

4 Some Committee members found the  
5 pathways for chemicals to enter -- the three dust  
6 compartments of the CEM -- are poorly described,  
7 especially for abraded particles. This doesn't  
8 appear that modeled products contributed any mass  
9 to the dust through abrasion mechanics.

10 The generation of dust particles  
11 come from tracking in outdoor dust, dander,  
12 smoking, and cooking in the model. These comments  
13 very well reflect the CEM capabilities more than  
14 the assessments approach.

15 Product and article selection -- EPA  
16 should explain and substantiate how consumer  
17 products or articles containing DIDP were selected  
18 from the group representing each COU. A brief  
19 explanation, given in lines 275 to 278, "selected  
20 for large surface area" is not sufficient for COUs  
21 with large numbers of products and articles.

1                   Please provide clear rationale that  
2                   the products and articles moved forward for  
3                   quantitative exposure modeling are those best-  
4                   suited to capture and quantify the upper-range  
5                   potential consumer exposures and result in a  
6                   healthy, protective evaluation. This will apply  
7                   to DINP and the other high-priority phthalates as  
8                   well.

9                   Generally, the exposure assessment  
10                  needs to be more clearly articulated, especially  
11                  on how DIDP is considered to enter dust  
12                  compartments in the modeling. It is difficult to  
13                  tell whether volatilization through air is the  
14                  only route for chemical migration out of the  
15                  products and articles.

16                  Is direct migration to a dust layer  
17                  considered? Do articles contribute to the mass of  
18                  that dust through degradation or abrasion? Please  
19                  discuss why and how this happens.

20                  Specific comments on routes of  
21                  exposure in products -- Table 2.1 -- one,  
22                  automotive products other than fluids --

1 automotive interiors were dropped from the  
2 analysis without clear rationale. Vehicle  
3 interiors are a significant use of DIDP and  
4 represent a potentially route exposure scenario.  
5 EPA should evaluate and document the data for this  
6 COU.

7 Next, adhesive sealants and related  
8 products are intended to be held in place for long  
9 durations after application. The cured products  
10 have the potential to wear and abrade and  
11 contribute to dust, as well as to emit high levels  
12 of DIDP to air.

13 Is that noise interfering? Hold on  
14 one second. I really apologize for this. I  
15 didn't realize this was going to happen.

16 **DR. DOUGLAS WOLF:** I don't hear any  
17 background noise.

18 **DR. GEORGE COBB:** Yes. Chris, go  
19 ahead. Dr. Chaisson, hi. We don't hear anything.

20 **DR. ALAA KAMEL:** I think she's using  
21 earphones. She's aware that she has to come back.

22 **DR. DOUGLAS WOLF:** Uh-huh.

1           **DR. ALAA KAMEL:** Yeah. I don't hear  
2 anything either.

3           **DR. GEORGE COBB:** Dr. Chaisson, we  
4 did not hear any background. You're muted. But  
5 we did not hear any background when you were  
6 worried about that. Dr. Chaisson, you're muted.

7           **DR. CHRISTINE CHAISSON:** My sincere  
8 apologies. It sounds like they're knocking the  
9 whole wall down.

10          **DR. GEORGE COBB:** We couldn't hear  
11 anything on this side.

12          **DR. CHRISTINE CHAISSON:** Oh, my  
13 goodness. Oh, that's good. Again, my apologies.  
14 Products -- you can hear me, Dr. Cobb?

15          **DR. ALAA KAMEL:** Yeah.

16          **DR. GEORGE COBB:** We can.

17          **DR. CHRISTINE CHAISSON:** Okay.

18 Products selected for modeling in some COU  
19 subcategories, such as arts and crafts and hobby  
20 materials -- and playground sports equipment --  
21 seem limited. EPA did not include a product for  
22 arts and crafts and hobby, and use of a single

1 fitness ball in a residence does not seem adequate  
2 to capture exposures that may occur, for example,  
3 in a gym, for the sports equipment COU.

4 And then we have a listing, which I  
5 won't go through, of formatting for just editorial  
6 or typos, things like that, which we will submit.  
7 We invite other members in the SACC to address  
8 some of these issues where we know that expertise  
9 exists on some of the things that we brought up.  
10 And with that, we conclude.

11 **DR. GEORGE COBB:** All right. Thank  
12 you very much for that summary. And I know you  
13 had lots to do with the first question and the  
14 short timeframe with the revised charts question.  
15 So I do truly appreciate that.

16 And there's quite a bit of --  
17 probably crosstalk -- between the questions based  
18 on the complexity of the types of analyses being  
19 done. So I do appreciate that. I was not trying  
20 to shortchange your -- or shortcut -- your  
21 discussion. I simply wanted to make sure that we  
22 were in this charge question.

1                   **DR. CHRISTINE CHAISSON:** No. I  
2 think your comments were good. Thank you, doctor.

3                   **DR. GEORGE COBB:** So I'll turn it  
4 over to the other discussants on this -- the  
5 associates. And the first one is Dr. Li.

6                   **DR. LI LI:** Okay. Good morning,  
7 everybody. So I just want to cover two things  
8 that have not been read off during the summary.  
9 So the first point is a very technical one. But I  
10 want to read a short version so that you can  
11 record it.

12                   So the first point is, according to  
13 the reports, dermal absorption seems to be one of  
14 the highest exposure routes. However, considering  
15 that DIDP is lowly volatile and lowly water  
16 soluble, it's hard to understand the significant  
17 contribution to dermal exposure.

18                   The Committee raised a concern about  
19 how EPA assessed the dermal absorption of DIDP in  
20 consumer products. First, the current calculation  
21 considered DIDP absorption from a saturated



1 solution on consumer product surfaces, which may  
2 lead to an overestimation of exposure to DIDP.

3 Second, this approach overlooks  
4 absorption from dust on this scheme. So in the  
5 written report, I will provide a more detailed  
6 explanation of these observations.

7 And the second point is line 1253,  
8 on page 48 -- a water solubility of 0.33 milligram  
9 per liter is mentioned here, which is 2000 times  
10 higher than the water solubility of 0.17 microgram  
11 per liter, as used in the environmental media  
12 modeling. See Section 4.2.1 of Draft  
13 Environmental Media and the general population  
14 exposure for DIDP.

15 However, 0.17 microgram per liter  
16 also appears as a "selection value" in the Excel  
17 spreadsheet, DIDP Draft to Consumer Risk  
18 Calculator, publicly released May 2024. So we  
19 were wondering which number was used. Yeah,  
20 that's my thing.

21 **DR. GEORGE COBB:** Thank you.

22 **DR. LI LI:** Yeah. Thanks.

1                   **DR. GEORGE COBB:** And then our next  
2 discussant is Dr. Fanning.

3                   **DR. ELINOR FANNING:** Good morning.  
4 Thank you. And thanks to Dr. Chaisson for  
5 representing as many of our comments as possible.  
6 I have one quick clarifying question. Chris, are  
7 we -- I understand that was our -- that was just  
8 1.a.i. Correct?

9                   **DR. CHRISTINE CHAISSON:** Correct.

10                  **DR. ELINOR FANNING:** So we're still  
11 going to -- okay. In that case, I believe that my  
12 comments were -- you know, the points that I  
13 particularly wanted to make on 1.a.i were included  
14 and represented well. Thank you.

15                               They have primarily to do with the  
16 fact that, as a general comment, I believe EPA has  
17 handled modeling of consumer and dust exposures to  
18 selected products in a reasonable way.

19                               What I don't see are a clear  
20 rationale and transparency for whether these  
21 particular products are able to capture the full  
22 exposure distribution and ensure a health

1 protective assessment. So I think that comment  
2 was clearly read in by Dr. Chaisson.

3 And I would also add that the --  
4 well, actually, I am going to pause because I  
5 think some of the other comments fit better under  
6 1.a.ii and we're still coming to that. So thank  
7 you. I'll pass to the next.

8 **DR. GEORGE COBB:** Thank you, Dr.  
9 Fanning. And I guess I'd like to point out, since  
10 you mentioned that specifically -- the universe of  
11 products that would be chosen -- that yesterday I  
12 asked a question about toys that were intended for  
13 pets that perhaps children would be chewing on --  
14 toddlers. So perhaps that can be part of the  
15 listing of things that should potentially be  
16 considered.

17 **DR. ELINOR FANNING:** Yeah. We've  
18 included a number of -- I think Dr. Chaisson was  
19 reading them in -- some of the scenarios that we  
20 had concern about. I think in-vehicle uses are  
21 particularly important -- vehicle interiors.

1 We talked about other modeling  
2 scenarios for some of the products. And we will  
3 get -- in the next section -- to some of our  
4 concerns about the dust. So I think products is a  
5 general comment.

6 **DR. GEORGE COBB:** Yeah. Great.  
7 Excellent. So thank you. And Dr. Reif is our  
8 next discussant.

9 **DR. DAVID REIF:** I have no  
10 additional comments.

11 **DR. GEORGE COBB:** Thank you. Dr.  
12 Ottinger.

13 **DR. MARY OTTINGER:** I have just a  
14 couple of comments. One is that, for the  
15 estimates of children's toys, they need to have a  
16 time-related element to them to see if there's any  
17 kind of change in the release of the compounds.

18 And also, the question of any  
19 sequestering -- I know that there is information  
20 about the lack of bioaccumulation -- but I  
21 wondered if there was a change in body burden and  
22 if that would affect the age-related exposures.

1                   And then, finally, the inhalation --  
2                   the small -- which, of course, trapped the  
3                   chemical -- the small size of particles are  
4                   considered inhaled, and the larger ones are  
5                   considered oral. And I'd like more definition  
6                   about how that actually affects exposures.

7                   And that's it. I had other  
8                   recommendations, which I'm sure Dr. Chaisson will  
9                   incorporate this in our written report. Thank  
10                  you. And thank you, Dr. Chaisson, for putting all  
11                  these comments together. That was heroic.

12                  **DR. CHRISTINE CHAISSON:** Thank you.

13                  **DR. GEORGE COBB:** All right. Thank  
14                  you to the discussants. Now I'll turn it over to  
15                  the Committee -- the full Committee -- for  
16                  comments that you may have related to Charge  
17                  Question 1.a.i. If there are no further comments,  
18                  we will return to Dr. Chaisson for Question  
19                  1.a.ii. Oh, wait. I see a hand; I see Dr.  
20                  Heiger-Bernays' hand.

21                  **DR. WENDY HEIGER-BERNAYS:** Yes.

22                  **DR. GEORGE COBB:** It disappeared.

1 DR. WENDY HEIGER-BERNAYS: Thank  
2 you. Yeah.

3 DR. GEORGE COBB: Ah.

4 DR. WENDY HEIGER-BERNAYS: Got it.  
5 Thank you. Dr. Cobb, this is one of the first  
6 questions, I think, with regard to that risk  
7 characterization. But it really focuses on 1.a.i,  
8 so I'll put it here.

9 So for workers -- so one of the  
10 known ongoing exposures of phthalates, including  
11 this chemical, is to young nail salon workers.  
12 I'd asked EPA whether that was considered. And  
13 I'd just like to put it here.

14 This population relies on income  
15 from poorly ventilated -- long hours, poor  
16 salaries -- in nail salons across the country.  
17 The majority of these populations are women of  
18 childbearing age. There's no evidence there are  
19 new publications on these with the knowledge that  
20 DIDP and the other phthalates are developmental  
21 toxicants.

1           This exposure scenario should be  
2 considered. EPA doesn't consider this. And if  
3 EPA chooses not to include this population --  
4 which I would suggest is pest population -- then  
5 justification is needed. Thank you.

6           **DR. GEORGE COBB:** Thank you, Dr.  
7 Heiger-Bernays. And I was writing that in my  
8 book. I wasn't not paying attention to what you  
9 were saying.

10           **DR. WENDY HEIGER-BERNAYS:** I will  
11 send you my -- the write-up.

12           **DR. GEORGE COBB:** Okay. So thank  
13 you for that comment. And now, are there other  
14 comments? Okay. Seeing none, we can go to EPA to  
15 see if there are clarifying questions or if you  
16 prefer to read Charge Question 2 into the record.

17           **MR. ANTHONY LUZ:** Thanks, Dr.  
18 Chaisson and the rest of the Committee. This is  
19 Tony with EPA. We really appreciate your thorough  
20 response to our charge question. This time, we  
21 don't have any clarifying questions for you. So I  
22 think we can move on to part two of the charge.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**DR. GEORGE COBB:** Thank you.

Please, do proceed.

**CHARGE QUESTION 1.a.ii**

**MR. ANTHONY LUZ:** Sorry -- okay, there's the charge question. Thanks. Okay. So part two of Charge Question 1.a. -- please include, in consideration of the consumer exposure model assumptions for analysis of suspended and surface dust through inhalation -- ingestion -- routes of exposure.

**DR. GEORGE COBB:** All right.

**DR. CHRISTINE CHAISSON:** Should I --

**DR. GEORGE COBB:** Yeah. Dr. Chaisson --

**DR. CHRISTINE CHAISSON:** Okay.

**DR. GEORGE COBB:** Yeah. Dr. Chaisson is our --

**DR. CHRISTINE CHAISSON:** Okay.

**DR. GEORGE COBB:** -- lead discussant.



1                   **DR. CHRISTINE CHAISSON:** Thank you.  
2                   Once again, I hope EPA will be patient. And so we  
3                   got all of our comments into a much more tidy  
4                   form. But I will -- I've collected them together  
5                   here to show you the issues that we're focusing  
6                   on.

7                   Section 4.4, on page 112, in terms  
8                   of DIDP exposures through the dust ingestion, the  
9                   EPA found their model results of 50 to 700 times  
10                  higher than estimates based on monitoring data.  
11                 EPA wrote "The sum of DIDP intakes from dust and  
12                 CEM modeled scenarios were, in all cases,  
13                 considered higher than those predicted by the  
14                 monitoring approach."

15                 The difference between the two  
16                 approaches ranged from 50 times in infants less  
17                 than one year old to a high of 704 times in  
18                 teenagers -- 16 to 20-year-olds. Our observation  
19                 is that the method used by the CEM may have  
20                 overestimated the DIDP concentration in dust by a  
21                 factor of 100.

1           The CEM model uses an equation of 56  
2       -- equation number 56 in the CEM Models User Guide  
3       -- that expresses a chemical's partition  
4       coefficient between dust and air as being  
5       proportional to a chemical's equilibrium --  
6       octanol-air partition coefficient -- the KOA.

7           The underlying assumption is that  
8       the model treats dust as being equivalent to 40  
9       percent octanol and assumes equilibrium  
10      partitioning between the dust and the air.

11      However, more and more evidence shows that this  
12      equilibrium partitioning assumption is no longer  
13      valid for lowly volatile chemicals, especially for  
14      chemicals in KOA greater than 1 to the 10th.

15           This is because lowly volatile  
16      chemicals need an extremely long time to reach  
17      equilibrium between the air and the dust, which is  
18      often orders of magnitude longer than the  
19      resident's time of the dust within the interior  
20      environment.

21           In other words, dust disappears  
22      before a chemical reaches equilibrium between the

1 air and the dust. So for lowly volatile  
2 chemicals, the chemical concentration in dust --  
3 I'm sorry, just a minute -- predicted by  
4 equilibrium models may always be higher than those  
5 measured in reality.

6 For example, Wechsler and Nazaroff  
7 2010 collected measurements of more than 60  
8 organic chemicals from 19 published studies.  
9 Their analysis shows that equilibrium models may  
10 overestimate chemical concentrations in the dust  
11 by a factor of 5 for a chemical with KOA of 1 to  
12 the 10th and by a factor of 100 for a chemical  
13 with KOA 1 to the 13th.

14 You can find this relationship in  
15 Figure 2 of Wechsler and Nazaroff's SVOC  
16 partitioning between the gas phase and settled  
17 dust indoors, published in the journal,  
18 "Atmospheric Environment." We'll provide the full  
19 reference.

20 As we know, DIDP is an extremely  
21 lowly volatile chemical, and the Draft Physical  
22 Chemistry Assessment for DIDP uses a KOA of 1 to

1 the 13th from this chemical. Therefore, it's  
2 likely that the DIDP concentration in the dust has  
3 been overestimated at least by a factor of 100.

4 Our recommendation is to either  
5 replace the default C (phonetic 01:03:00) in a  
6 method with more realistic numbers to consider the  
7 non-A polar (phonetic 01:03:03) being partitioning  
8 of DIDP between dust and air, or if this is not  
9 feasible, articulate this overestimation in a  
10 report.

11 Other issues included lines 6 --  
12 1463 to 1467, Equation 3-1, on page 56 and 57, and  
13 it's confusing why EPA sought to back-calculate  
14 the ingestion rate of dust -- or the ingestion  
15 rate of DIDP among Canadians -- reported by UC and  
16 Health Canada.

17 First, this black -- back-calculated  
18 ingestion rate of dust was not used in EPA's own  
19 calculation, as the EPA indicated later that the  
20 data for their calculations were taken from Oksana  
21 et all 2022.

1                   Second, the way Health Canada  
2                   calculated the ingestion rate of DIDP is by  
3                   multiplying the acute p (phonetic 01:04:00)  
4                   chemical concentrations and the ingestion rate of  
5                   dust. So the ingestion rate of the dust is  
6                   actually the input, not something that needs to be  
7                   back calculated.

8                   Other comments -- the CEM is  
9                   generally appropriate for purposes of assessing  
10                  indoor dust exposures for use patterns and  
11                  receptors. However, CEM assumes a home  
12                  environment, and EPA should not limit exposure  
13                  scenarios for the COUs to in-residence.

14                  In order to address exposure  
15                  scenarios, such as vehicle interiors or gyms, as  
16                  mentioned, some CEM defaults may be adaptations.  
17                  EPA has to ensure that upper bound exposure  
18                  scenarios are included and carried forward to the  
19                  risk evaluations. This comment is relevant to  
20                  DINP and other high priority phthalates.

21                  Carpeting in homes does not appear  
22                  to be a CEM input, but the presence of carpets may

1 alter dust fate and chemical loading of dust. It  
2 should be considered as a source of variability,  
3 uncertainty, and modeling, perhaps. And the  
4 others added that the issue about carpets can be  
5 handled, as it would be the medium that is  
6 providing the exposure.

7 We appreciate the detailed technical  
8 information from CEM. It's not all rewritten in  
9 the exposure assessment. The equations in Tables  
10 2.7 through 2.12 are helpful. However, some  
11 additional information on dust size fractions and  
12 fate pathways into the dust would be appreciated.

13 Time periods for acute,  
14 intermediate, and chronic exposures seem  
15 appropriate, as does the daily model doses to  
16 compute the intermediate exposure durations that  
17 are based on professional judgment.

18 Section 2.2 -- dermal modeling --  
19 this section explains EPA's logic, though we need  
20 further consideration on the aqueous layer as part  
21 of the dermal exposure, particularly given to the  
22 low solubility for DIDP.

1                   There does not appear to be  
2                   consideration of the dermal to oral pathway for  
3                   DIDP. This may be important for some articles and  
4                   for some pests and should be added. This is a  
5                   concern for the other phthalates as well. An  
6                   example is a young child using synthetic leather  
7                   furnishing while sucking a thumb or eating snacks  
8                   with unwashed hands.

9                   Section 3.2 -- indoor dust modeling  
10                  -- Gubuaveaux (phonetic 01:16:00) et al --  
11                  reference to be provided -- is an appropriate  
12                  comparator given the limited data for DIDP.

13                  However, EPA should note that  
14                  production and use of DIDP has increased since the  
15                  Canadian house dust study -- which was performed  
16                  in this 2013 publication on dust in Canadian homes  
17                  -- may underestimate current exposure levels for  
18                  dust in the U.S.

19                  It's also worth noting that the  
20                  maximum concentration of DIDP in house dust was  
21                  14-fold higher than a median, indicating a

1 substantial difference between -- or a great  
2 variability -- in the DIDP dust.

3           Regarding other phthalates, there  
4 are considerably more dust monitoring studies for  
5 lower molecular weight phthalates. We'll include  
6 other references. The dust ingestion rates --  
7 Noskenya (phonetic 01:08:00) et al -- and EPA --  
8 appear to be incorporated and applied.

9           That's the end of our comments for  
10 that section.

11           **DR. GEORGE COBB:** Thank you, Dr.  
12 Chaisson. And we have a similar list of  
13 discussants. Dr. Li.

14           **DR. LI LI:** I don't have anything to  
15 add. Thanks.

16           **DR. GEORGE COBB:** Thank you. Dr.  
17 Fanning.

18           **DR. ELINOR FANNING:** Thank you.  
19 Let's see -- as my camera's not working. Oh,  
20 there we go. Thank you. Just a short addition to  
21 the comment that Dr. Chaisson read in concerning



1 modeling of potentially non-residential exposure  
2 scenarios.

3 So one of the assumptions that is  
4 made in this modeling is that residential  
5 exposures capture the high-end consumer exposures.  
6 And we think that, despite shorter exposure time  
7 durations in other microenvironments, EPA has not  
8 clearly assured the public that exposure levels  
9 couldn't be higher in some of those  
10 microenvironments.

11 And so we recommend that EPA explore  
12 and present some specific exposure scenarios for  
13 selected COUs -- and particularly those COUs with  
14 numerous products -- such as -- I don't have my  
15 document open -- but a COU that is plastic  
16 products generally.

17 And I think the important piece I  
18 wanted to add is that high exposure scenarios  
19 should aggregate across exposure levels across  
20 numerous contributing products. So for example,  
21 on the car interiors, we don't -- we're not  
22 interested in just hearing about exposure from car

1 mats. It needs to integrate across all the  
2 plastic products in the car. Thank you.

3 **DR. GEORGE COBB:** Thank you for that  
4 comment, which reminds me -- alumni from our  
5 university and others have published information  
6 related to phthalates in things like carpet dust  
7 and found that they are certainly equal to, if not  
8 higher, in places like daycares and places of  
9 worship and work environments -- like office  
10 environments, not manufacturing environments. So  
11 that is an important point. Thank you for  
12 bringing that up. Now, our next discussant is Dr.  
13 Reif.

14 **DR. DAVID REIF:** I have no  
15 additional comments. Thank you.

16 **DR. GEORGE COBB:** All right. And  
17 then we have Dr. Spade.

18 **DR. DAN SPADE:** Thank you for the  
19 summary. And I think the only comment that I have  
20 that wasn't in the summary is just a question for  
21 clarification from EPA.

1           If the model dust concentrations --  
2       if that model includes the assumption that DIDP  
3       has to enter the vapor phase before it can become  
4       associated with dust -- I think in the previous  
5       charge question, there was a request for  
6       clarification about whether abrasion or other  
7       pathways could lead to DIDP entering dust without  
8       having entered the vapor phase. And so I think  
9       that is a relevant question to how dust  
10      concentrations of DIDP are modeled.

11           **DR. GEORGE COBB:** So is that a  
12      question to EPA today? Or is that a question  
13      you're suggesting should be addressed in their  
14      report?

15           **DR. DAN SPADE:** I guess that's a  
16      question I'm suggesting should be addressed in  
17      their report.

18           **DR. GEORGE COBB:** Okay.

19           **DR. DAN SPADE:** Yeah.

20           **DR. GEORGE COBB:** Thank you. Dr.  
21      Fanning is back.

1                   **DR. ELINOR FANNING:** Yeah. I just  
2 wanted to follow up on Dr. Spade's comment there.  
3 That was an important piece of conversation that  
4 we had in the Committee.

5                   And I also wanted to put in the  
6 record the discussion that we had with staff  
7 yesterday about the volume of dust in the model  
8 that is considered available for exposure to DIDP.  
9 And what we thought we heard from staff was that  
10 it's only the dust that settles on the article in  
11 question.

12                   So that, I think, is actually a  
13 question to EPA. Can EPA please clarify for us  
14 whether dust that enters -- DIDP in dust that  
15 enters into the model -- is the entire reservoir  
16 of house dust available for exposure? It was very  
17 hard to tease that apart yesterday.

18                   **DR. GEORGE COBB:** Okay. All right.  
19 Thank you. And we will go to EPA for  
20 clarifications on some of that after we go to the  
21 remainder of the Committee. So are there comments

1 from the remainder of the Committee? Okay. I  
2 don't see any.

3 But I do have a -- maybe a question  
4 for those who commented on the partitioning and  
5 equilibrium of DIDP between vapor phase and dust.  
6 And there was a discussion about -- since the  
7 partitioning couldn't come to equilibrium, that  
8 the concentrations in dust were overestimated.

9 The question then becomes -- does  
10 that not imply that the concentrations in air are  
11 underestimated? And if so, is that not a large  
12 underestimation, given the partitioning  
13 coefficient? So does the group -- or the person  
14 that made that dust overestimate -- what do you  
15 think about that?

16 **DR. LI LI:** So this is Li Li  
17 speaking. So I made a comment on the dust and air  
18 partition coefficient. So maybe I can provide  
19 some more information about this. So let me find  
20 this. Okay.

21 So the CEM model actually made an  
22 assumption that it is the chemical first getting

1 to the air phase -- which is the vapor phase --  
2 from the product material and then partitioning to  
3 the dust. So that's why the dust air partition  
4 coefficient is very important.

5 So if this partition coefficient has  
6 been -- overestimate -- and then, with the same  
7 level of air concentration, you can get a higher  
8 concentration in the dust -- so that's why the  
9 concentration of dust would be overestimated.

10 So I have to point out that a  
11 limitation of this method is -- they ignore the  
12 abrasion or other ways of release of chemical in  
13 the environment because the chemical can be  
14 released into the environment through the abrasion  
15 and the dust generated from this one.

16 So in that case, the starting point  
17 of the chemical partitioning would be the chemical  
18 in the dust, not the chemical in the air or in the  
19 vapor phase. So in that case, if the partition  
20 coefficient is -- underestimate -- then the  
21 concentration in air would be a overestimate. And

1 then the concentration in dust would be still  
2 okay. Yeah.

3 **DR. GEORGE COBB:** So even more  
4 complicated than my question.

5 **DR. LI LI:** Oh, yes.

6 **DR. GEORGE COBB:** Okay.

7 **DR. LI LI:** Yes.

8 **DR. GEORGE COBB:** So thank you for  
9 that discussion. And I think that will be  
10 helpful. Are there other comments from the  
11 Committee before we precede back to the -- turn it  
12 back to EPA? All right. So thank you to  
13 everyone.

14 And we'll turn it back over to EPA  
15 for -- to see if you have clarifying questions for  
16 us and perhaps to see if you can answer Dr.  
17 Fanning's question about the dust deposition. If  
18 not, I understand it's on the spot.

19 **MS. LAURA KRNAVEK:** Hi, this is  
20 Laura Krnavek. Responding to -- just to rephrase  
21 the question and make sure I can understand it --  
22 the question was whether the entirety of the

1 surface area for the article -- and then all of  
2 that is covered in dust -- that would become  
3 available for -- and it depends on the exposure  
4 route that we are pursuing, of course -- so for  
5 inhalation, for example, that dust would become  
6 available, suspended, and then would become  
7 available for inhalation.

8 So Dr. Li's description of the  
9 complexity of the dynamics of dust and vapor are  
10 correct. The model does provide the inhalation of  
11 both -- of the dust particulate and gas phase  
12 without decoupling both. So when we get  
13 inhalation, it's both a particulate -- the dust  
14 then suspended, and the vapor. I hope that sort  
15 of clarifies a little bit of that.

16 And then there is some ingestion  
17 from some of that suspended as well. That's also  
18 part of the ingestion assessment. A lot of the  
19 ingestion assessment is also done as suspended on  
20 the settled dust. So that would be the bulk of  
21 that assessment -- whatever was settled. So we do



1 assume that the bulk of that dust would be  
2 available for ingestion, which is --

3 **DR. GEORGE COBB:** Okay. Dr.  
4 Fanning, does that answer your question?

5 **DR. ELINOR FANNING:** Sorry -- trying  
6 to get off mute. I think that that is -- I think  
7 we have the information that we need to proceed  
8 with preparing comments about the handling of dust  
9 in the models. Thank you so much.

10 **DR. GEORGE COBB:** Yep.

11 **DR. CHRISTINE CHAISSON:** Yeah. Dr.  
12 Cobb, we'll be taking the information EPA has  
13 provided -- or if they want to give us more  
14 information about the specifics of those comments  
15 -- and then I'll be asking Dr. Fanning and Dr. Li  
16 to try to put together a thorough, coherent  
17 conversation of this --

18 **DR. GEORGE COBB:** Okay.

19 **DR. CHRISTINE CHAISSON:** -- along  
20 with the recommendations.

21 **DR. GEORGE COBB:** Yeah. I'm  
22 confident in that. So thank you to EPA for

1 providing that response. And now we'll move to  
2 our next charge question.

3 **DR. LAURA KRNAVEK:** Anthony.

4

5 **CHARGE QUESTION 1.a.iii**

6

7 **MR. ANTHONY LUZ:** This is Anthony  
8 Luz, EPA. So I'm now going to read Charge  
9 Question 1.a, part three. Please also comment on  
10 mouthing behavior input parameters related to  
11 estimating chemical migration to saliva for  
12 infants and toddlers. Thank you.

13 **DR. GEORGE COBB:** Okay. And Dr.  
14 Chaisson is our lead discussant again. And I will  
15 remind everyone -- this is George Cobb speaking --  
16 we are supposed to identify ourselves. And so  
17 please do that. I'm introducing Dr. Chaisson, so  
18 I'm not sure that's essential. But when you do  
19 speak, please identify yours- --

20 **DR. CHRISTINE CHAISSON:** This is Dr.  
21 Chris Chaisson. In general, the Committee  
22 accepted the EPA approach for assumptions for this

1 to be representative for the exposure assessment.  
2 However, the approach described in lines 851 and  
3 onward and in Table 2-10 appear to contain a  
4 slough (phonetic) that could lead to  
5 underestimated exposure.

6 To set high, medium, and low  
7 exposure scenario for mouthing values, EPA used  
8 the mean mouthing time from the exposure factor  
9 manual for ages 1 to 3, 3 to 6, 6 to 9, and 9 to  
10 12 months.

11 However, by setting the high  
12 exposure scenario mouthing time as the longest  
13 mean time from those four subgroups, the model is  
14 listing approximately the upper 50th percentile of  
15 the distribution for that highest subset. This  
16 could lead to underestimates of exposure.

17 We note also that this lack of  
18 looking at the full distribution overlooks the  
19 possibilities of the -- of such distributions  
20 being skewed either to the left or to the right.  
21 It also may underestimate the effects of age of

1 the materials and of the effect, if you will, on  
2 distortions from "chewing."

3 Parameterization of the mouthing  
4 behavior and migration to saliva appear to have  
5 been thoughtfully done. The resources were  
6 identified. The Danish EPA report on migration of  
7 phthalates into saliva support the use of this  
8 document generally, as well as using the DINP  
9 migration rate as a surrogate for DIDP.

10 Mouthing behavior inputs from the  
11 exposure factors handbooks seems reasonable. We  
12 ask you to consider the inclusion of pet toys, as  
13 has been brought up before, and was in the  
14 dynamics of the release factors that have been  
15 mentioned before.

16 That was an uncharacteristically  
17 short report from us.

18 **DR. GEORGE COBB:** So thank you, Dr.  
19 Chaisson. And, you know, there probably was  
20 crosstalk between a lot of these questions. So  
21 maybe some of the response to this actually was  
22 captured in 1.a.i a bit as well. So I appreciate

1 that. So our discussants are again fairly  
2 similar. So, Dr. Li.

3 **DR. LI LI:** I don't have anything to  
4 add.

5 **DR. GEORGE COBB:** And Dr. Fanning.

6 **DR. ELINOR FANNING:** No additional  
7 comment; thank you.

8 **DR. GEORGE COBB:** Dr. Reif.

9 **DR. DAVID REIF:** No additional  
10 comments; thank you.

11 **DR. GEORGE COBB:** And Dr. Spade.

12 **DR. DAN SPADE:** I have no additional  
13 comment; thank you.

14 **DR. GEORGE COBB:** So thanks to that  
15 group of discussants. And the rest of the  
16 Committee -- are there additional comments from  
17 the remainder of the Committee? If there are  
18 none, we can turn this back to EPA for clarifying  
19 questions and then reading in the next charge  
20 question after that.

21 **MS. LAURA KRNAVEK:** Hi, this is  
22 Laura Krnavek asking in a clarifying question on

1 one of the comments and making sure that the  
2 comment on the age routes and the mouthing  
3 parameters used were not just related to the  
4 indoor monitoring assessment analyses that were  
5 performed, but they're also looking to the dosing  
6 parameters for the overall modeling mouthing that  
7 we did in -- just clarifying that.

8 **DR. GEORGE COBB:** Dr. Chaisson or  
9 the discussant group?

10 **DR. CHRISTINE CHAISSON:** I'm not  
11 sure I know the answer to that. Maybe somebody  
12 else on the subcommittee can clarify that.

13 **DR. DAN SPADE:** This is Dan Spade.  
14 So I think part of the comment is a question about  
15 how a high-exposure scenario or a low-exposure  
16 scenario is properly defined. So if you are  
17 defining a high-exposure scenario for the entire  
18 age -- 0 to 1 year's age group -- by selecting  
19 mean data for subsets of individuals that fall  
20 under that 0 to 1 age group --

21 **DR. GEORGE COBB:** Yeah.

1                   **DR. DAN SPADE:** -- does the high  
2 exposure scenario properly define -- to have to  
3 account for some portion of the upper tail of the  
4 distribution? And if it does, then I think the  
5 question is -- does the selection of the highest  
6 mean of the four means leave out a significant  
7 portion of the upper tail of the distribution?

8                   **DR. CHRISTINE CHAISSON:** Okay. I  
9 misunderstood the question fully. Within the  
10 Exposure Factors Handbook, the references for the  
11 data that actually was used by the group to derive  
12 those means is usually included.

13                   I haven't looked up these numbers in  
14 a long time. But that is where I usually go to  
15 look for two things, and one is if -- why did they  
16 pick the mean -- and did they report the median?  
17 And what is the distribution of the exposures that  
18 were used?

19                   The people who put the Exposure  
20 Factors Handbook together really took on a heroic  
21 task because sometimes the data that were being  
22 used was this snuck hole (phonetic 01:27:00)

1 ideal. And so they did the very best they could,  
2 from my point of view, to come up with usable  
3 factors that are generally employed.

4 In situations like this, I think it  
5 might be well worth going back to look at the  
6 original information and see whether or not those  
7 distributions can be exposed, if you will -- no  
8 pun intended -- and whether or not there are  
9 better information available today than were cited  
10 in the exposure.

11 This was actually the reason why we  
12 asked for when the latest update was done on some  
13 of these factors in the book. Because some of  
14 those data are a couple decades old. So I  
15 understand that the Agency uses exposure factor  
16 handbooks, which is an outstanding tool, but in  
17 this kind of circumstance where -- it might be  
18 worth looking for more recent information,  
19 specifically showing the distributions.

20 **DR. GEORGE COBB:** Dr. Krnavek, does  
21 that answer your question?



1           **DR. LAURA KRNAVEK:** Yes. I think so.  
2 I think they are talking in general, not just the  
3 one analysis. So yeah. Thank you.

4           **DR. GEORGE COBB:** It's good to get  
5 these things clarified. And I know it helps you  
6 prepare before we can get our final report  
7 drafted. So now we can turn to reading in the  
8 final charge question for 1.a.

9           **MS. CHARLENE ASP:** 1.a.iv, Dr. Cobb?

10          **DR. GEORGE COBB:** Are we on 1.a.iv?  
11 We --

12          **MS. CHARLENE ASP:** I think.

13          **DR. GEORGE COBB:** Yeah. I'm  
14 laughing because Charlene was trying to help me  
15 not make this error, and I've already made it. So  
16 yes, 1.a.iv -- we're not quite to the final charge  
17 question for this -- for 1.a.

18  
19                           **CHARGE QUESTION 1.a.iv**

20  
21          **MR. ANTHONY LUZ:** This is Tony Luz  
22 with EPA. I'll now read in part four of Charge

1 Question 1.a. So in light of comments on charge  
2 questions 1.a.i through 1.a.iii, please comment on  
3 the latest scientific evidence and its conclusions  
4 for the consumer in indoor dust assessments --  
5 both Section 5 of the Draft Consumer Indoor Dust  
6 Exposure Assessments.

7 Please include in these comments a  
8 discussion of the clarity and transparency of the  
9 data used and EPA's interpretation of the exposure  
10 results. Thank you.

11 **DR. GEORGE COBB:** Thank you for  
12 reading that into the record. And Dr. Chaisson is  
13 the lead discussant for this question as well.

14 **DR. CHRISTINE CHAISSON:** Thank you.  
15 This is Dr. Chaisson. We had a series of  
16 comments. First one -- use of Canadian data, as  
17 well as data from other countries, was sort of  
18 downgraded. And, in our opinion, it looked rather  
19 severe. Unless there are reasons to expect the  
20 COUs or other significant factors of  
21 manufacturing, environmental conditions, use,

1 populations involved, or such, the geopolitical  
2 status does not seem relevant for downgrading.

3 This is especially true for  
4 information from Canadian and the EU countries,  
5 where the scientific and regulatory attitudes  
6 about research, monitoring, data quality,  
7 regulatory use of information, et cetera, are at  
8 least equal to those of the U.S. regulatory and  
9 research bodies.

10 We need to compare reviews on these  
11 chemicals across other regulatory authorities. By  
12 the way, we noted that the way that this was done  
13 -- in looking at the toxicology information -- I  
14 think was really excellent. And we will strongly  
15 encourage a similar approach to be used for the  
16 data and information in general used in the  
17 exposure assessment.

18 This is important for a variety of  
19 reasons, including comparisons of data use,  
20 approaches, and ultimate exposure assessments and  
21 risk assessment metrics. In such comparisons, the  
22 details about the options and utility of data,

1 approaches and methods and models can be  
2 understood by the EPA scientists, SACC reviewers,  
3 stakeholders, and the public.

4 EPA evaluations now seem to be  
5 isolated reviews on an international level,  
6 although the products and chemicals are often  
7 internationally distributed, used, and regulated.  
8 A presentation of what other authorities offer for  
9 scientific reviews and/or regulation of the  
10 chemicals -- including internationally, by U.S.  
11 state, under scientific authorities -- would be a  
12 good starting point.

13 Data used depends on contextual  
14 factors such as -- are the products grouped in the  
15 same way for review -- are other data information  
16 used by other authorities -- the era of the  
17 reviews -- and are aggregated exposure and risk  
18 assessment used -- either to assess multiple  
19 scenarios or to highlight relative contributions  
20 of different exposure scenarios, such as those  
21 we're considering -- and products to the  
22 aggregated risk?

1                   What are the differences among the  
2                   answers, exposure of populations exposed, et  
3                   cetera? These kinds of comparisons are very, very  
4                   helpful and very, very important for credibility  
5                   of the Agency's review.

6                   Such considerations of other  
7                   thoughtful evaluations serve to provide context  
8                   around the answers and highlight areas of  
9                   significant differences, which can be discussed  
10                  and can improve confidence in the EPA's assessment  
11                  methods they've used -- models -- et cetera.

12                  Scientists, stakeholders, and the  
13                  public can see differences in conclusions --  
14                  regulatory differences, et cetera -- from other  
15                  authorities and regulators. Without such  
16                  discussions by EPA, these readers will logically  
17                  wonder what yielded the differences and possibly  
18                  question the reviews. Indeed, that situation can  
19                  be exploited.

20                  The clarity and transparency of  
21                  EPA's reports are impressive for a regulatory  
22                  assessment across such broad necessary sciences.

1 Comments in the previous sections may assist with  
2 additional improvements. The most significant  
3 issue is probably one of apparent omission rather  
4 than improvements of existing reviews.

5 In particular, the overall construct  
6 of the document -- parsing into consumer use --  
7 only one of the review topics in the TSCA mandate,  
8 we've pointed out, seemingly ignored completely  
9 topics as we discussed before -- and their related  
10 exposure scenarios. We will detail that, of  
11 course, in our written report.

12 The explanations for assignment of  
13 slight, moderate, and robust confidence for weight  
14 fractions, product use patterns, and article  
15 surface are transparent. Selecting stay-at-home  
16 activity patterns and approaches to mouthing  
17 behavior are reasonable, but we, of course, have  
18 already suggested some improvements on that.

19 Dermal absorption is an area of high  
20 uncertainty in the assessment, and it needs to be  
21 highlighted for the risk evaluation chapter. Use  
22 of upper bound exposure estimates in risk

1 evaluations for the COUs, including dermal  
2 absorption, is warranted due to the considerable  
3 uncertainty. Thermal to oral routes should also  
4 be addressed.

5 In Tables 5.1 through 5.3, due to  
6 the issues raised previously -- without  
7 construction products contributing to exposure  
8 after use as they age and wear and endure  
9 environments -- we recommend reducing the overall  
10 exposure confidence until that's corrected.

11 Further, as mentioned previously,  
12 the COU -- automotive and other fluids -- needs to  
13 be fully represented. That absence and lack of  
14 discussions lend to other potentially high  
15 exposure scenarios. And non-residential scenarios  
16 reduces the overall confidence in the report.

17 Confidence estimates derived from  
18 the Canadian house dust study monitoring -- from  
19 moderate to slight -- there are numerous  
20 uncertainties in comparison to the modeled measure  
21 estimates. We will detail this further in the  
22 written report.

1                   Lines 2120 to 2113, on page 116 -- a  
2                   calculation of the aqueous permeability  
3                   coefficient --  $K_p$  -- in this assessment may be  
4                   highly uncertain. The Ken Burch approach was  
5                   used, which considers the resistance to permeation  
6                   caused by three components in the imminent skin --  
7                   the lipid medium and proteins in the stratum  
8                   corneum, and the aqueous boundary over the skin,  
9                   also known as the water layer.

10                   This EPA calculation was looked at -  
11                   - and found that since DIDP is highly hydrophobic,  
12                   the majority of resistance comes predominantly  
13                   from the aqueous boundary layer. It does not  
14                   matter how the lipids and proteins are considered  
15                   or prioritized in the model. The key is ensuring  
16                   the permeation across the aqueous boundary layer  
17                   is well-characterized and calculated.

18                   However, a chemical's permeability  
19                   across the aqueous boundary layer is currently  
20                   understudied for highly hydrophobic chemicals. We  
21                   simply don't have enough data. Therefore, the  
22                   calculated  $K_p$  may also be highly uncertain, and we



1 do not know whether the calculated  $K_p$   
2 overestimates or underestimates the actual  
3 permeability across the aqueous boundary layer.

4 In line 2114 to 2115, on page 116,  
5 EPA states "However, EPA is confident the selected  
6 approaches represented upper bound dermal  
7 absorption from DIDP from solid articles."

8 However, there is no explanation for why the EPA  
9 is so confident.

10 And -- mentioned before -- the EPA's  
11 estimate of dermal absorption may have  
12 substantially overestimated dermal exposure  
13 because it does not consider rate limit by mass  
14 transfer within the product material. If this  
15 overestimation is considered a sign of  
16 conservativeness in risk assessment, then the EPA  
17 can state that this confidence is based on that  
18 principle.

19 However, leaving to question the  
20 entire SACC and EPA, overestimation can be caused  
21 by either using inappropriate assumptions in the  
22 calculation or by selecting the higher end values

1 for individual variables. Conservativeness in  
2 risk assessment can be achieved through both --  
3 and this needs to be clarified.

4 And I believe that's our entire  
5 presentation. Thank you, Dr. Cobb.

6 **DR. GEORGE COBB:** Thank you, Dr.  
7 Chaisson. You're doing yeoman's work here for  
8 these charge questions. I'd like to turn it over  
9 now to the individual discussants. Dr. Li.

10 **DR. LI LI:** No additional comments.

11 **DR. GEORGE COBB:** Thank you. Dr.  
12 Fanning.

13 **DR. ELINOR FANNING:** No additional  
14 comments; thank you.

15 **DR. GEORGE COBB:** Dr. Reif.

16 **DR. DAVID REIF:** No additional  
17 comments; thank you.

18 **DR. GEORGE COBB:** And Dr. Spade.

19 **DR. DAN SPADE:** No additional  
20 comments; thank you.

21 **DR. GEORGE COBB:** Excellent. Thank  
22 you to that team. Now we'll turn it back over to

1 EPA to see -- one, if there are clarifying  
2 questions -- and if there are none, we will  
3 proceed to the reading of the next charge  
4 question.

5 **MR. ANTHONY LUZ:** Dr. Cobb, this is  
6 Tony Luz with EPA. There's no clarifying  
7 questions at this time. So maybe we can move on  
8 to the next charge, as you mentioned.

9 **DR. GEORGE COBB:** Excellent.

10

11

**CHARGE QUESTION 1.a.v**

12

13

14

15

**MR. ANTHONY LUZ:** Okay. So now I'm  
going to read part five of Charge Question 1.a.  
So for the remaining phthalates, i.e., DEHP, DBP,  
17 DIBP, BBP, DCHP and DINP, EPA anticipates  
18 potentially needing to refine the exposure  
19 assessment for consumer indoor dust exposure.

20

21

22

23

We suggest exposure data sources,  
models, and narrated (phonetic 01:41:00) methods  
for estimating dermal inhalation and ingestion  
exposures to chemicals from consumer products that

1 are reasonably available and can be conducted in a  
2 timely fashion that allows EPA to meet statutory  
3 timelines for TSCA risk evaluations. Thank you.

4 **DR. GEORGE COBB:** Thank you for  
5 reading that question in. And we will turn again  
6 to Dr. Chaisson.

7 **DR. CHRISTINE CHAISSON:** Yes. Dr.  
8 Cobb, can you give me just a moment to pull that  
9 up, please?

10 **DR. GEORGE COBB:** Certainly. And  
11 while all that's being read in, one thing that  
12 came to mind that I did not mention that is  
13 pertinent to this particular charge question is --  
14 with the advent of a lot of modern high-resolution  
15 mass spectrometry, research groups have gone to  
16 using high-resolution mass spectrometry to capture  
17 virtually all the chemical signature from a sample  
18 and archive the data rather than archiving the  
19 samples -- archiving the mass spec data that could  
20 be queried for this type of information.

21 Now, that said, finding that  
22 information -- that specific information -- I'm

1 not prepared to make a suggestion for that. Now,  
2 just to realize that there are research groups  
3 throughout the world that are collecting samples  
4 of the types we're discussing and analyzing them  
5 basically for all the organic materials that their  
6 data processor will gather.

7 And then they're archiving the data  
8 rather than the samples. So there may be ways to  
9 obtain those types of data. But again, I don't  
10 have specific sources for that right now. So, Dr.  
11 Chaisson, did that --

12 **DR. CHRISTINE CHAISSON:** Yeah.

13 **DR. GEORGE COBB:** -- get you enough  
14 time?

15 **DR. CHRISTINE CHAISSON:** Yeah.

16 Thanks for that. I appreciate it.

17 The risk assessment for these  
18 chemicals individually rely on exposure and hazard  
19 information that carefully align. At least one of  
20 the phthalates -- DBP -- is thought to be acutely  
21 potent, which means that the exposure scenarios,

1       habitational factors, and subpopulation emphasis  
2       will likely be uniquely important.

3               Such scenario components are  
4       different from those designed for chronic exposure  
5       scenarios. Attention on the statistical handling  
6       of data informing the exposure models must be  
7       appropriate for acute exposure scenarios. DIDP is  
8       relatively data-poor in comparison to the other  
9       high-priority phthalates.

10              As a general comment, EPA should be  
11       prepared to thoroughly evaluate products and  
12       scenarios to model to ensure that the selected  
13       items and scenarios will provide reasonable  
14       assurance of including upper bound exposures and  
15       most highly exposed as biologically simple  
16       populations -- and as pointed out above,  
17       consideration for use in acute exposure.

18              EPA may need to model some scenarios  
19       outside of the CEM with readjustments to the CEM  
20       if those scenarios represent high exposures that  
21       may be a concern for a given COU. Just a moment.  
22       More complete attention to how chemicals can enter

1 the household air and dust through a generation of  
2 dust particles from articles and products will be  
3 important for the broader COUs and the number of  
4 products and articles for the DIDP.

5 As suggested before, degradation of  
6 plastic polymer products and articles should be  
7 included in the dust exposure modeling. We have  
8 also noted before that the dynamics of the polymer  
9 in which the phthalates are included are a key  
10 point for all of these evaluations and that  
11 apparently cellulose is also a non-plastic  
12 component that needs to be covered.

13 The DINP exposure assessment should  
14 be reviewed by the SACC, in our opinion. The  
15 current review exposure methods for DIDP does not  
16 adequately cover issues that were likely to arise  
17 with the DINP.

18 We will include a list that details  
19 many of the specifics, including how the  
20 additional exposure scenarios that we discussed in  
21 early parts of our review will impact these other  
22 phthalates and how some of the specific issues

1 that we have found should be considered for these  
2 specific -- especially where we expect that the  
3 type of plastic or type of polymer, in general, in  
4 which it is embedded -- becomes an issue across  
5 all of these.

6 This is a very abbreviated review  
7 here in this section, but I think we've captured  
8 the key topics that we will expand upon and,  
9 wherever possible, provide references.

10 **DR. GEORGE COBB:** Thank you.

11 **DR. CHRISTINE CHAISSON:** And that  
12 completes it.

13 **DR. GEORGE COBB:** Thank you. And  
14 let's go to the other discussants. Dr. Li.

15 **DR. LI LI:** No additional comments;  
16 thank you.

17 **DR. GEORGE COBB:** Dr. Fanning.

18 **DR. ELINOR FANNING:** I do have one  
19 additional comment. Thank you for reading in the  
20 compilation, Dr. Chaisson. I wanted to add to the  
21 record the opinion that, as EPA assesses exposure  
22 to DINP and the other high-priority phthalates,



1 attention to cumulative consumer exposures and  
2 risks will become especially important.

3 Some of the broader conditions of  
4 use for the phthalates include conditions of use  
5 where there are multiple products that can contain  
6 different phthalates. What that means is that  
7 those conditions of use need to be evaluated for  
8 the potential or cumulative exposure and risk.

9 So pests -- based on either high-  
10 exposure or susceptibility -- are particularly  
11 important. On this, I want to recognize the  
12 Committee is aware that the cumulative risk  
13 approach is not yet finalized, yet we still urge  
14 EPA to provide some context for those COUs with  
15 multiple phthalates, as EPA is conducting  
16 evaluations for the individual phthalate  
17 chemicals. Thank you.

18 **DR. CHRISTINE CHAISSON:** Dr. Cobb?

19 **DR. GEORGE COBB:** Yes.

20 **DR. CHRISTINE CHAISSON:** Adding to  
21 Dr. Fanning's comments, there have been  
22 discussions about how, when doing aggregation and

1 doing cumulative assessments, it appears to us  
2 that the entire concept of distributional exposure  
3 factors and probabilistic approaches will have to  
4 be employed.

5 So we're not jumping the gun, I  
6 hope, on that assessment. But that substantiation  
7 of the comments I just made will also be included  
8 in our remarks.

9 **DR. GEORGE COBB:** Okay. Great.  
10 Thank you. Now, Dr. Reif.

11 **DR. DAVID REIF:** No additional  
12 comments for me.

13 **DR. GEORGE COBB:** All right. Thank  
14 you. Return to the balance of the Committee --  
15 are there additional comments about the broader  
16 phthalate question in the exposures? I see Dr.  
17 Fenner-Crisp.

18 **DR. PENELOPE FENNER-CRISP:** It has  
19 to do with the comment that was made about the  
20 acute stuff. And that reminds me of a cautionary  
21 tale about expectations of what cumulative risk  
22 assessment might look like. I think it may be an

1 expectation on some people's part that that would  
2 be one thing -- just one assessment.

3 But the fact that the comments that  
4 were made just now might well be that there will  
5 have to be multiple ones based upon durations of  
6 exposure -- not simply one that would cover  
7 everything.

8 But Dr. Chaisson noticed some of the  
9 acute effects vary, and they aren't the same ones  
10 that are right now targeted -- or at least have  
11 been discussed with respect to the human risk  
12 assessment.

13 You may have to have different  
14 endpoints and all that kind of thing. So I think  
15 one should be cautioned to understand that the  
16 Agency has more work to do in a cumulative risk  
17 assessment for these phthalates than one might  
18 have expected.

19 **DR. GEORGE COBB:** Excellent point.  
20 Yeah. Thank you very much. Are there other  
21 comments? Okay. Seeing none, we can move to the

1 EPA to see if there are clarifying questions  
2 related to this charge question.

3 **MR. ANTHONY LUZ:** (auto skip  
4 01:51:37) EPA -- no clarifying questions. I'd  
5 just like to thank the Committee for all the  
6 thoughtful discussion and recommendations to the  
7 Agency for DIDP Charge Question 1.a. Thank you.

8 **DR. GEORGE COBB:** Well, and we  
9 appreciate your dialogue with us today here. All  
10 right. We are at a lunch break, and we're ten  
11 minutes early. I think perhaps we can -- is it  
12 okay with the Committee and the Agency if we go to  
13 lunch now and take an hour and ten minutes?

14 **MS. CHARLENE ASP:** That would be  
15 great.

16 **DR. PENELOPE FENNER-CRISP:** Some of  
17 us have teed up a breakroom session during lunch.

18 **DR. GEORGE COBB:** Well we can --

19 **DR. PENELOPE FENNER-CRISP:** Do we  
20 want to do that now or later?

21 **DR. GEORGE COBB:** -- you know what -  
22 - the lunch break is not scheduled until an hour

1 from now. I misread the schedule. I really  
2 apologize. Dr. Chaisson, are you prepared with  
3 1.b.i?

4 **DR. CHRISTINE CHAISSON:** Yeah. We  
5 sure are.

6 **DR. GEORGE COBB:** Okay. Well then,  
7 EPA, if you can read 1.b.i into the record, that  
8 would be great. And if that's Dr. Luz, I  
9 apologize for continuing to call you EPA, but --

10 **MR. ANTHONY LUZ:** That's okay.

11 **DR. GEORGE COBB:** -- I don't have a  
12 list of who's going to be asking the question.

13

14 **CHARGE QUESTION 1.b**

15

16 **MR. ANTHONY LUZ:** No problem, Dr.  
17 Cobb. This is Tony with EPA. I'll be reading  
18 Charge Question 1.b. And this has four parts.

19 As described in Section 2 of the  
20 Draft Environmental Media and General Population  
21 Exposure for DIDP, EPA used sentinel exposures to  
22 conduct a screening approach for the DIDP exposure

1 assessments. EPA anticipates that the exposure  
2 methodologies demonstrated in the Draft Risk  
3 Evaluation for DIDP will be applicable to DINP  
4 exposure scenarios.

5  
6 **CHARGE QUESTION 1.b.i**

7  
8 And so part one of Charge Question  
9 1.b -- please comment on the strengths and  
10 uncertainties of the selected data and methods  
11 employed in the use of sentinel exposures in the  
12 screening approach.

13 **DR. GEORGE COBB:** Okay. Thank you  
14 for reading that in. And we will turn to Dr.  
15 Chaisson as our lead discussant.

16 **DR. CHRISTINE CHAISSON:** This is Dr.  
17 Chaisson. Right now, we're going to detail for  
18 you a listing of issues that we've come up with in  
19 our report. We will further discuss these in  
20 terms of strengths and challenges within that  
21 report.

1                   The issues included relevance of the  
2 medium. DIDP in water is assumed to partition  
3 into particulates that settle to the bottom or  
4 lower part of the water column.

5                   And therefore, waterborne chemicals  
6 would not be available for exposure opportunities  
7 -- humans or other organisms in food chains, et  
8 cetera. This may overlook food chain issues and  
9 consumptions by other types of fish or yield food  
10 chain exposures, especially to pest communities --  
11 subsistence communities.

12                   The water bodies are not all clear  
13 in reality, often when it's murky -- or constant  
14 turbulence. That condition provides opportunities  
15 for exposure by swimming, surface water  
16 consumption, or use in foods by subsistence  
17 communities. This is discussed in references from  
18 the public submissions, by the way, and was  
19 discussed previously with EPA.

20                   If concentrations are above -- this  
21 was a point of some confusion about the  
22 calculation -- if concentrations are above 27,000

1 -- no advance per kilogram -- that's like 2.7  
2 percent by weight -- that level of contamination,  
3 even if adhering to particulates, needs to be  
4 explored in detail. EPA presumes that to be of no  
5 consequence, as it is written up, whether or not  
6 they meant to portray it that way. We will detail  
7 that a little further.

8           Flooring scenarios -- mobilizing  
9 media containing the phthalate, including on the  
10 ground and dry surfaces and the sediment in  
11 shallow bodies of waters -- rivers and creeks --  
12 should be further discussed.

13           The general approach of sentinel is  
14 good. We have no argument with that -- see page  
15 8, lines 272 to 281. But execution of the  
16 approach could profit from a more structured  
17 deliberation. And we would like to present where  
18 we see that could be included in the overall  
19 approach for the TSCA evaluations.

20           Again, the concept of just general  
21 population seems to be used with less  
22 consideration, in a structured way --



1 consideration for pest. Although EPA mentions it,  
2 it just usually says we don't find any pests -- in  
3 that conversation. Given the vigorousness of  
4 phthalates, it seems to be understated -- details  
5 to follow.

6 The assessment could benefit from  
7 further exploration of exposures from dirt. What  
8 about construction workers, gardeners,  
9 landscapers, and the track back into the home by  
10 general dirt in them -- both from occupational and  
11 from general environments -- and the daily  
12 exposures to broad groups of people?

13 The concept of the use of sentinel  
14 exposures to test for exposure scenarios of  
15 potential consequence, as discussed in EPA's  
16 document for exposure assessment -- as discussed  
17 in Section 2 -- is a valuable approach, especially  
18 when monitoring data where new exposure scenarios  
19 are being discussed with limited record of actual  
20 exposure of media resident.

21 The Committee agrees on this.  
22 However, the assumptions described in Section 2 --

1 especially lines 368 to 375 -- at the highest end  
2 of an exposure path -- it begins with industrial  
3 releases -- it should be chronicled and defended  
4 somehow because it drives the entire sentinel  
5 assessment paradigm on which these analyses rest.

6 The industrial releases may indeed  
7 yield high-end exposure pathways. But there may  
8 be other exposure pathways for phthalates which  
9 are also generating high-end exposure and  
10 potentially, also, sentinel.

11 And given there are no monitoring or  
12 resident data to declare which sentinel is the  
13 biggest, all potential high-end pathways may be  
14 legitimate to include in this assessment process.

15 The EPA assessment of phthalate  
16 exposure and subsequent risk does not include the  
17 world of distribution of products nor the products  
18 through the wholesaler, retailer, or online --  
19 distributed to the consumer. That pathway has a  
20 lot in common with the logic that is used for  
21 industrial releases.

1           The pathway involves wrapping  
2 individual products and whole plats of products --  
3 movement, stacking, storage -- in massive  
4 warehouses. Workers in these facilities are in  
5 constant proximity to phthalate-rich wrapping  
6 materials, insulation materials, packing  
7 materials, and the dust in the buildings' shelves  
8 and on interior structural components of the  
9 buildings.

10           Just as in the residences, the  
11 exposures could be significant. And for these  
12 workers, one can assume long daily exposures --  
13 periods over a five or six day per week working  
14 schedule.

15           The track home residents may not be  
16 sentinel, but could also be significant to the  
17 contamination of home spaces and the multi-  
18 generational people living there. Hence, unlike  
19 the industrial fugitive release into outdoor  
20 spaces, here we have the potential of exposure to  
21 phthalate-rich dust for chronic exposure  
22 scenarios.

1           In other words, the dust either  
2 stays in those big warehouses for exposure to the  
3 workers, or it gets sucked out through the  
4 ventilation, where exposure pathways look a lot  
5 like the industrial kinds of emissions.

6           Perhaps in addition to the pathways  
7 proposed by EPA, in Table 1-1 of Section 2, page  
8 11 -- distribution in commerce -- it is listed,  
9 but is apparently not discussed in the assessment  
10 -- or not found, at least by this reviewer.

11           Section 2.2 and other sections state  
12 "General population exposures occur when DIDP is  
13 released into the environment, and the environment  
14 media is then a pathway for exposure." While this  
15 is most likely true -- but is the evidence or body  
16 of evidence that this specific scenario is always  
17 the progenitor of the sentinel exposure?

18           If this is to be the only progenitor  
19 scenario considered by EPA -- as a practice of  
20 science, if not a policy -- then the evidence for  
21 it should be at least cited in the document,

1 especially if other initiating scenarios are not  
2 to be considered.

3 I believe that's all of the -- no --  
4 there are others. There is also an issue about  
5 the use of fish consumption that, of course, is  
6 very important for the calculations in the  
7 sentinel exposure scenarios, as other types of  
8 animals may also be.

9 Since DIDP has a high KOW and a high  
10 KOA, it's anticipated that fish consumptions --  
11 more so than maybe other terrestrial food sources  
12 -- makes the highest contribution to the total  
13 chemical intake because such chemicals are more  
14 bioaccumulative in aquatic animals than in  
15 terrestrial animals. That may also be true for  
16 the other species that we've mentioned in this  
17 review.

18 And with that, I conclude.

19 **DR. GEORGE COBB:** All right. Thank  
20 you for providing that summary. And now we can  
21 turn to our other discussants. Dr. Li.

1                   **DR. LI LI:** No additional comments;  
2                   thank you.

3                   **DR. GEORGE COBB:** Thank you. Dr.  
4                   David.

5                   **DR. RAYMOND DAVID:** No additional  
6                   comments.

7                   **DR. GEORGE COBB:** Dr. Reif.

8                   **DR. DAVID REIF:** No additional  
9                   comments on this.

10                  **DR. GEORGE COBB:** Thank you. And  
11                  then, Dr. Gentry.

12                  **DR. ROBINAN GENTRY:** (audio skip  
13                  02:03:44) additional comments.

14                  **DR. GEORGE COBB:** Was that no  
15                  comments?

16                  **DR. ROBINAN GENTRY:** No additional  
17                  comments; thank you.

18                  **DR. GEORGE COBB:** Okay. Thank you  
19                  very much. All right. So now we can turn back to  
20                  EPA to see if there are clarifying questions. And  
21                  if not, we can move to Charge Question 1.b, which  
22                  would be led by Dr. Li.

1                   **DR. CHRISTINE CHAISSON:** Excuse me.  
2                   Dr. Cobb, are there comments from the rest of the  
3                   Committee? We hit on some points that others  
4                   might have expertise on.

5                   **DR. GEORGE COBB:** Yep. You're  
6                   exactly correct. And I did not go there. So  
7                   let's go to the rest of the Committee to see if  
8                   there are other comment- (audio skip 02:04:24) --

9                   **DR. LI LI:** Thank you for reminding  
10                  us, Dr. Chaiss- --

11                  **DR. GEORGE COBB:** Yeah. Dr.  
12                  Ottinger.

13                  **DR. MARY OTTINGER:** I just want to  
14                  bring up a theme that's going to keep coming back  
15                  over and over again, which is that there's a bit  
16                  of a disconnect in terms of the assessments that  
17                  are made for aquatic levels and that there were  
18                  effects at lower than environmentally measured  
19                  concentrations. But the question became -- was it  
20                  a physical rather than chemical effect -- and just  
21                  to read that into the record so we can have  
22                  further discussion on that point.

1                   **DR. GEORGE COBB:** I think that's a  
2 good point. That's one that I brought up  
3 yesterday. And I think that's an important point  
4 that needs to be addressed. And we can either do  
5 that here or in the later discussion of how that  
6 cascades into the risk. But yeah, I agree that  
7 there were effects that were discounted and not  
8 considered. So do you want to expand on that any,  
9 Mary Ann?

10                   **DR. MARY OTTINGER:** Yeah. I think  
11 it's going to come up again in 1.b.ii. And it's  
12 also going to be revisited when we talk about more  
13 of the environmental effects in the charge  
14 questions later today and tomorrow.

15                   But I think it's important to make  
16 sure that we look at the data that are available  
17 because they are sometimes dismissed for various  
18 reasons -- and just make sure that the reasoning  
19 for dismissal is very clear and warranted, and  
20 supported by the available literature. Beyond  
21 that, I just think it's going to be a continuing



1 discussion and question, especially for the eco  
2 side.

3 **DR. GEORGE COBB:** Yeah. I agree,  
4 fully. Thank you. Dr. Fanning.

5 **DR. ELINOR FANNING:** Yes. Thank  
6 you. I think this is the appropriate charge  
7 question for this comment. It can be -- there is  
8 some overlap. It appears to me that contributions  
9 to environmental releases are only considered as  
10 coming from facilities.

11 I would like to comment that it is  
12 possible for releases to surface waters to come  
13 from DIDP -- and relevant to other phthalates --  
14 from phthalate-containing products in the  
15 environment as well.

16 An example for DIDP that was given  
17 in conditions of use is, for example, large  
18 awnings -- so stadium covers. And degradation of  
19 these plastics -- emissions to the environment --  
20 could conceivably contribute to surface water  
21 concentrations via a storm water route. I would  
22 encourage EPA to ensure that related contributions

1 to environmental concentrations from product use  
2 be considered. Thank you.

3 **DR. CHRISTINE CHAISSON:** Dr. Cobb --

4 **DR. GEORGE COBB:** Go ahead, yes.

5 **DR. CHRISTINE CHAISSON:** This is Dr.  
6 Chaisson. I'd like to just add a little extra  
7 onto Dr. Fanning's comments. This whole issue  
8 about increased non-storm flooding that's  
9 occurring more and more across our country is  
10 exaggerating, if you will -- or magnifying; let me  
11 use the word magnifying -- the issue that Dr.  
12 Fanning just brought up.

13 And the whole idea of washing out  
14 various streets and residences, and warehouses and  
15 all kinds of things like that into local water is  
16 an issue that's being experienced, not just along  
17 the coast -- in fact, there has been more daily  
18 non-storm flooding in the interior of the United  
19 States than all of the coastal regions combined.  
20 And those are from mostly small creeks and the  
21 tributaries into major water and major rivers  
22 throughout the United States.

1           So the issue Dr. Fanning brought up  
2           is really critical, I think, for EPA to begin to  
3           be including in these kinds of reviews. This is a  
4           problem that's going to obviously be with us for -  
5           - hopefully not decades, but it's certainly here  
6           now.

7                   **DR. GEORGE COBB:** Good. Thank you  
8           for that question. This is George Cobb. Dr.  
9           Chaisson, by non-storm, do you mean non-hurricane  
10          or do you mean non- --

11                   **DR. CHRISTINE CHAISSON:** Nope. Non-  
12          storm. It just not a --

13                   **DR. GEORGE COBB:** Just not a big  
14          storm --

15                   **DR. CHRISTINE CHAISSON:** These are -  
16          -

17                   **DR. GEORGE COBB:** So it's a rain  
18          event, but not a big storm.

19                   **DR. CHRISTINE CHAISSON:** Nope. It's  
20          not a rain event. They are listed under "sunny  
21          day flooding events". And this is when whole  
22          residential -- commercial -- areas frequently

1 flood because of a variety of factors. But one of  
2 the events that happens is that you get sort of a  
3 washing off of the surfaces of huge areas -- and  
4 back into the waterways nearby.

5 **DR. GEORGE COBB:** Okay.

6 **DR. CHRISTINE CHAISSON:** And  
7 obviously, it also includes rain and storm events.  
8 But it's certainly not limited to that.

9 **DR. GEORGE COBB:** Yeah. Got it.  
10 Okay. Turning back to the remainder of the  
11 Committee, are there other comments? All right.  
12 Then we can move to EPA for clarifying questions.  
13 And after we're done with that, we can move to our  
14 next charge question.

15 **MR. ANTHONY LUZ:** I'm sorry. This  
16 is Tony Luz with EPA. There's no questions this  
17 time.

18 **DR. GEORGE COBB:** Great.

19

20 **CHARGE QUESTION 1.b.ii**

21

1                   **MR. ANTHONY LUZ:** Okay. We can move  
2 on to Charge Question 1.b, part two. Please  
3 include the consideration of the strengths and  
4 uncertainties associated with methods related to  
5 calculating surface water concentrations for DIDP  
6 that's in Section 5. Thank you.

7                   **DR. GEORGE COBB:** All right. And  
8 our lead discussant for this is Dr. Li.

9                   **DR. LI LI:** Okay. So this is Li Li  
10 speaking. So first I will read some overview of  
11 the general comments from the subcommittee. So  
12 the subcommittee appreciated EPA's efforts to  
13 integrate both model predictions and environmental  
14 monitoring evidence for calculating surface water  
15 concentrations. However, it's unfortunate that  
16 all water monitoring data came from outside of the  
17 U.S. and that no water monitoring data were  
18 available for the U.S. water bodies.

19                   The Committee also raised their  
20 concerns about a model of DIDP concentrations in  
21 water and the sediment. The model concentration

1 in water exceeded the DIDP water solubility by  
2 nearly 100,000 times.

3 Of course, it's not uncommon to see  
4 the model concentrations exceeding the water  
5 solubility to some extent because the model  
6 concentrations represents a total concentration  
7 which combines the fraction freely desorbed -- and  
8 the fraction absorbed open to suspended particles  
9 -- rather than freely absorb the concentrations.

10 However, such a huge difference  
11 cannot be rationalized by the difference between  
12 the total and the freely desorbed concentrations.  
13 So EPA may wish to consider the reason behind this  
14 -- for example, whether the release scenario or  
15 the scale of the assumed receiving water bodies is  
16 reasonable.

17 However, if EPA determines they have  
18 high confidence in these extremely high  
19 concentrations, they should be aware that these  
20 numbers already exceed the aquatic effects level  
21 by 20 to 400 times, indicating significant risk to  
22 aquatic ecological researchers.

1                   Although the Committee understands  
2                   that commanding ecological effects and the  
3                   ecological risk is not part of the questions asked  
4                   here, the Committee believes that the risk issue  
5                   needs to be addressed during this review meeting.  
6                   So I also saw Dr. Ottinger and Dr. Cobb mention  
7                   the ecological facts just now, so maybe this would  
8                   be a good place to comment on this issue.

9                   So now I will show identified issues  
10                  one by one. I will first show some minor issues -  
11                  - most are for clarification. So lines 722 to  
12                  724, on page 29, the sentence reads "Sediment  
13                  associated with urban storm water runoff collected  
14                  within an underground sedimentation facility in  
15                  Gothenburg, Sweden, represents the highest  
16                  concentration of DIDP within sediment at 60,000  
17                  microgram per kilogram."

18                  The nature of this sedimentation  
19                  facility is to isolate and retain sediments from  
20                  storm water runoff within a treatment facility --  
21                  and not representative of the sediments associated  
22                  with the surface waters.

1                   So one of our Committee member  
2                   comments -- discounting the 60,000 microgram per  
3                   kilogram -- that is 60 milligram per kilogram --  
4                   of DIDP in storm water sediment is troubling. The  
5                   distribution of concerns in this study could be  
6                   used to compare with the acute sediment toxicity  
7                   data. The same study contains the values of DIDP  
8                   and DINP in storm water discharge, which could be  
9                   used in comparison to acute toxicity values for  
10                  ecological researchers.

11                  And then, Table 5-3, on page 33 --  
12                  provides estimated acute dose -- 80 hours for  
13                  different age groups. In the footnote, it states  
14                  "Table 1-1 provides the cross work of OES to COUS  
15                  to indicate how different scenarios were made."  
16                  The sentence in line 849 to 850 reads "Using the  
17                  acute dose based on the highest model -- the 95th  
18                  percentile -- the MOEs are greater than the  
19                  benchmark of 30."

20                  So comments -- provide more  
21                  explanation of the MOEs greater than the benchmark  
22                  study -- Table 5-3. Also, the assumptions made



1 for the 80 hours with different age groups needs  
2 further references to link the data to the  
3 information -- beyond Table 1-1.

4 The next one -- on line 1015, on  
5 page 39, the sentence reads "However, DIDP is not  
6 expected to be bioavailable for uptake by aquatic  
7 organisms due to a strong absorption to organic  
8 measures and the hydrophobicity."

9 So comment -- the statement that  
10 DIDP is not expected to be taken up by aquatic  
11 organisms is directly contradicted by monitoring  
12 data present in the Draft Environmental Exposure  
13 Assessment. In fact, EPA used an empirical BASF  
14 to compute uptake to animals. All these types of  
15 language need significant harmonization across an  
16 assemblage of the report in these documents -- in  
17 this docket.

18 Next one -- line 1024, on page 39, -  
19 - comment -- the values in the surface water  
20 column all need citations. The monitored surface  
21 water data seem to be the means from a single

1 study. This single study can be noted in the  
2 caption.

3 The mean must be changed to a high  
4 sentinel and perhaps from a system with higher  
5 aqueous concentrations. Higher sentinel values  
6 from this study would produce a value of 73  
7 milligram per liter.

8 And next one -- Table 11-2, on page  
9 66 -- so comment -- the 30 cubed 5 (phonetic  
10 02:18:39) concentration of 100 microgram per liter  
11 is not the same as the concentration of 500 and  
12 the 47 microgram per liter reported for lubricants  
13 and the function of fluids in Table 5-1-1 and the  
14 other tables in that section.

15 Why is there a difference? Or is  
16 one of them incorrect? If the 547 value from  
17 Table 5-1-1 is correct, then the MOEs are lower,  
18 but still acceptable for humans. These predicted  
19 concentrations do raise the question about  
20 protection of ecological researchers.

21 And then I will raise some main  
22 issues. So number one -- the absence of U.S.

1 water monitoring data -- so line 66 -- sorry, 67  
2 to 60 -- 6, 7, 8 (phonetic 02:19:45), on page 28,  
3 the sentence reads "Eight studies within the pool  
4 of reasonably available information reported DIDP  
5 concentrations within surface water."

6 No U.S. studies were identified.

7 Comment -- the lack of U.S. data is somewhat  
8 surprising. Please make clear why this is the  
9 case. And how does this fit with the WWTT data --  
10 the Wastewater Treatment data?

11 And two -- overestimation of DIDP  
12 concentrations in water and the sediment --  
13 comment -- Tables 4 and 5 and the related text on  
14 page 26 and 27 -- using the WW- -- sorry, using  
15 the VVWM model, with the Point Source Calculator  
16 2, the EPA predicts DIDP concentrations to range  
17 from 1.7 -- sorry, 1.47 to 10,200 microgram per  
18 liter if no wastewater treatment techniques were  
19 applied.

20 Table 4-4 -- the concentration is  
21 547 microgram per liter. Even -- it is assumed  
22 that 94 percent of DIDP is removed during

1 wastewater treatment -- Table 4-5 -- this  
2 predicted concentration far exceeded water  
3 solubility of DIDP, which is 0.17 microgram per  
4 liter, by up to 100,000 times.

5 Of course, it's not surprising to  
6 see the concentrations slightly higher than the  
7 water solubility in environmental monitoring.  
8 This is because the monitoring concentrations are  
9 the total concentrations in water rather than the  
10 concentrations of freely dissolved chemicals in  
11 the aqueous phase. And the chemicals may be  
12 absorbed onto suspended particles in water.

13 However, it's unlikely for the total  
14 concentration to be 100,000 times higher than the  
15 water solubility at a normal level of suspended  
16 particle contact -- this in the case that  
17 predicted concentrations may not be that  
18 reasonable.

19 We're not comfortable with using  
20 these predicted concentrations for further  
21 exposure assessment, especially for drinking water

1 exposure assessment because risk assessments  
2 requires a reasonable worst-case.

3 As described in Section 4.1 -- that  
4 is lines 575 to 576 -- the modeling was based on  
5 the generic modeled water body parameters, which  
6 has a standardized width of 5 meters, a length of  
7 40 meters, and a depth of 1 meter.

8 Actually, this represents a very  
9 small volume. This means that EPA assumes the  
10 order that DIDP releases from a site is confined  
11 to such a small swimming pool. In reality,  
12 chemicals may be diluted and will not be confined  
13 within this small area.

14 If the modeled water body is a part  
15 of a larger water body -- for example, a lake or a  
16 river -- if the modeled water body represents an  
17 entire lake, then the DIDP must form a pure phase  
18 separated from the water phase.

19 And if this really happens, then  
20 aquatic animals are exposed to a pure phase of  
21 dense liquid DIDP. In either case, the assumed

1 emission rate or the scale receiving environment  
2 may not make sufficient sense.

3 In addition, regarding Tables 4 and  
4 5 and the related text on page 26 and 27, EPA  
5 estimates that the beneath sediment concentration  
6 of DIDP were up to 27,600 microgram per -- sorry,  
7 milligram per kilogram. As I mentioned above,  
8 since assumed emission rates or the scale of the  
9 receiving environment may not be realistic, we are  
10 not comfortable using this number for further  
11 exposure modeling.

12 So our recommendation is that EPA  
13 may either, one, use a large or more realistic  
14 volume of water body -- or two, scale the release  
15 rate based on the portion of receiving water body  
16 in a large water body.

17 And another comment on this is -- in  
18 Table 5-3, the model concentrations are 547  
19 microgram per liter, and 9,110 microgram per liter  
20 -- with or without wastewater treatment --  
21 respectively. These numbers exceed the aquatic

1 effect level of 20 or 40 microgram per liter by  
2 22,400 times.

3 So if EPA determines these numbers -  
4 - the predicted concentrations -- are reasonable,  
5 these predicted concentrations raise concerns  
6 about protection of ecological researchers. So  
7 maybe I need to pivot this to Dr. Cobb because I  
8 believe he can elaborate this much better than I  
9 do. Yeah. That's everything from my side.

10 **DR. GEORGE COBB:** So thank you, Dr.  
11 Li. This is George Cobb. I think we'll turn to  
12 the other discussants for additional comments  
13 before I can address the specific things that you  
14 mentioned that you would turn over to me. But I  
15 think some of the other discussants want to get  
16 their perspectives in. And thank you for that  
17 very nice summary.

18 **DR. LI LI:** Thanks.

19 **DR. GEORGE COBB:** And I see Dr.  
20 Ottinger is on screen, so we'll go with you first.

21 **DR. MARY OTTINGER:** Thank you, Dr.  
22 Li. That was a very complete and very accurate

1 representation of all the input that we all gave  
2 you. All I want to do is emphasize again the  
3 disconnect between some of the measurements.

4 If the assumption is that the  
5 wastewater removal -- the treatment plants -- were  
6 very effective and therefore means that nothing is  
7 really getting out in the environment, that is not  
8 a good assumption, and it means that we need to be  
9 very cognizant of rainwater and other sources of  
10 potential contamination that have been discussed  
11 in the previous charge question. So all those  
12 factors need to be integrated together.

13 The other thing I want to bring up  
14 again is the idea that there is a absorption  
15 assumption and documentation that is evidence for  
16 potential higher exposure in some areas,  
17 especially if the chemicals -- the phthalates --  
18 are adhering to particulate matter in the sediment  
19 and therefore available to aquatic species.

20 And that can go beyond -- and you'll  
21 hear more from me later about this -- but that can  
22 go beyond the fish and other organisms that are



1 usually considered and into dabbling ducks and all  
2 sorts of other, generally terrestrial-considered  
3 animals.

4 So -- just want to bring up that  
5 disconnect of exposure potential and risk to these  
6 organisms, and the assumption that no effect or no  
7 data means that they're just fine, which I think  
8 is in error. Thank you.

9 **DR. GEORGE COBB:** Thank you, Dr.  
10 Ottinger. And I'll follow up on that in a second.  
11 I see Dr. David has a comment as well.

12 **DR. RAYMOND DAVID:** No. I have no  
13 additional comments. Sorry?

14 **DR. GEORGE COBB:** Oh, I apologize.  
15 I saw your screen. So I will -- Dr. Heiger-  
16 Bernays, do you have your hand up?

17 **DR. WENDY HEIGER-BERNAYS:** But I'm  
18 not on this question, so I need to wait until you  
19 go through all the respondents, and then I can  
20 talk.

21 **DR. GEORGE COBB:** Okay. All right.  
22 So I'll add -- for what Dr. Heiger-Bernays

1 mentioned -- that there are monitoring data that  
2 are not even in industrialized areas where there  
3 is wastewater treatment from a municipal  
4 wastewater treatment plant where the measured  
5 concentrations far exceed the concentrations that  
6 -- I shouldn't say far -- they exceed the  
7 concentrations that produce toxicity in the  
8 laboratory studies.

9           So I think EPA really cannot  
10 discount those laboratory studies and has to  
11 include those. There may be differing  
12 perspectives on this Committee. But I don't see  
13 how you can say that there is no effect and just  
14 throw those data away when you've got measured  
15 concentrations in the environment that exceed  
16 those concentrations in the aquatic testing.

17           I'll also say that there is a  
18 possibility that you can use the maximum  
19 acceptable toxicant concentration -- MATC -- for  
20 Daphnia, across a couple of different phthalates,  
21 and compare that to the observed effect for the  
22 DIDP, and come up with a ratio that may actually

1 allow you to use some of those other phthalate  
2 data to predict toxicity in sensitive fish species  
3 like trout.

4 And if you do that, you will find  
5 out that the trail is probably similarly sensitive  
6 in the chronic state -- but those data do not  
7 exist. Now, I'm not an aquatic toxicologist, per  
8 se. I have worked on aquatic toxicology studies  
9 with others.

10 So I'll be happy if someone thinks  
11 that's not an appropriate approach -- happy to  
12 hear if that's not an appropriate approach. There  
13 are lots of details in this, and that'll be in the  
14 report. But I did want to voice those.

15 So we do have other discussants on  
16 this question. And Dr. Chaisson is first.

17 **DR. CHRISTINE CHAISSON:** I have no  
18 other comments; thank you.

19 **DR. GEORGE COBB:** Thank you. Dr.  
20 David.

21 **DR. RAYMOND DAVID:** No. As I said  
22 before, I have no other comments.

1 DR. GEORGE COBB: Dr. Reif.

2 DR. DAVID REIF: No other comments.

3 DR. GEORGE COBB: Dr. Ottinger.

4 DR. MARY OTTINGER: No other  
5 comments; thank you.

6 DR. GEORGE COBB: I do want to  
7 circle back to my comments because they are  
8 substantial relative to the risk assessment -- and  
9 make sure that anybody who thinks that's not --  
10 that including the toxicity data for the Daphnia  
11 is not warranted -- that we hear that because that  
12 would be a pretty substantial difference in the  
13 way EPA has approached this ecological assessment.

14 Dr. Heiger-Bernays, is your hand  
15 still up? Nope.

16 DR. WENDY HEIGER-BERNAYS: Yes.  
17 Well, I just put it down. But, yes, my hand is  
18 still up.

19 DR. GEORGE COBB: All right. So  
20 let's --

21 DR. WENDY HEIGER-BERNAYS: Okay.

1 DR. GEORGE COBB: -- see what you  
2 have to say.

3 DR. WENDY HEIGER-BERNAYS: So should  
4 we hold off on Daphnia -- on the tox data -- for a  
5 sec? To go back to Dr. Li's point, I want to add  
6 something additional which I think we did discuss  
7 when we did the 1,4-Dioxane assessment, which is  
8 with regard to surface water and the assumption of  
9 removal of DINP via wastewater processes that was  
10 raised and discussed.

11 And even if we assume that  
12 wastewater treatment is as effective as stated,  
13 for now, EPA reports, as of January 2023, that  
14 there are around 700 communities in the United  
15 States that experience combined sewer overflows.  
16 These communities are mostly in the Northeast, the  
17 Great Lakes, and the Pacific Northwest and service  
18 around 40 million people.

19 Much of those waters are used for  
20 drinking water sources, not to mention the impacts  
21 to the ecological species that are living in the  
22 receiving water bodies. So I want to make sure

1 that that is a specific example of why this  
2 assumption of adequate wastewater treatment is  
3 problematic.

4 With regard to Dr. Cobb's point and  
5 Dr. Ottinger's point with regard to film on the  
6 water and whether those data from the laboratory  
7 studies should be used, I absolutely concur. If  
8 you think about the way the experiment perhaps is  
9 set up, there is that insoluble component on the  
10 top of the water. But the concentrations are  
11 lower beneath that area.

12 I think that is where it's concluded  
13 that there is a sort of smothering -- physical  
14 impact -- to those organisms. And I think if we  
15 think about the way the chemical is partitioning,  
16 it behooves EPA to justify why those data are  
17 excluded. And I concur with others who suggest  
18 that it should be included. Thank you.

19 **DR. GEORGE COBB:** Thank you for  
20 those comments. Are there other comments from the  
21 Committee? Dr. David.

1                   **DR. RAYMOND DAVID:** So just in  
2 response to that suggestion of that for  
3 experimental data where the concentration was  
4 above water solubility -- and there is an obvious  
5 film of undissolved material -- having dealt with  
6 Dahlias for a long time, even though I'm not an  
7 ecotoxicologist, I remember this was an issue for  
8 many years.

9                   And in fact, the OECD has guidance  
10 on how to evaluate substances that are clearly not  
11 very water soluble. I don't think the agency has  
12 such a document, but OECD does. And my  
13 understanding is that it is generally accepted  
14 that a film on top of the water -- where the  
15 Daphnia have to come up -- represents -- not an  
16 inherent toxicity of the chemical -- it is more of  
17 a physical property that causes the mortality --  
18 especially for Daphnia.

19                   And so that is why ecotoxicologists  
20 have argued that those data are really not  
21 relevant for understanding inherent aquatic  
22 toxicity. Because if it's a mechanical problem,

1 then it really is a totally different issue. And  
2 I'm happy to invite EPA to chime in on this if you  
3 allow that, or if that makes sense.

4 **DR. GEORGE COBB:** Yeah. I think  
5 that's entirely appropriate. I think we started  
6 this conversation a little bit yesterday. And I  
7 kind of pushed this to the Committee because it  
8 would be a pretty big change in the assessment for  
9 what I'm suggesting.

10 And I do want to point out that, in  
11 the studies by Adams et al -- and most of those  
12 people are friends of mine and very good  
13 scientists, I will point out -- they mentioned  
14 that the Daphnia were kind of entrapped in DIDP  
15 and floated to the surface -- not that there was a  
16 surface film that they became entrapped in but  
17 that they were somehow encountering the DIDP --  
18 perhaps as microdroplets -- in the water column  
19 and then floating to the surface, which is  
20 somewhat different than the scenarios that we've  
21 been hearing about so far.



1           And I believe that the Rhodes study  
2           on occasion observed films. But I haven't looked  
3           at that particular paper in a couple of days, so I  
4           don't remember specifically. So are there other  
5           thoughts about this from the Committee? I see Dr.  
6           Ottinger has her hand up.

7                   **DR. MARY OTTINGER:** Yeah. this  
8           brings up an issue that I've been wrestling with  
9           throughout this review, and that is the potential  
10          mechanisms of action -- or modes of action. And I  
11          think the issue becomes over invertebrates, other  
12          vertebrate organisms, including -- other than  
13          mammals -- that there may be very similar modes of  
14          action which could apply across the board, meaning  
15          that there could be measurements to see if that's  
16          the case.

17                   The other issue that you bring up is  
18          -- are they suffocating, basically -- or denied  
19          oxygen because of that layer of film -- which is  
20          what was implied -- or is there a direct effect on  
21          these organisms due to exposure? And that remains  
22          somewhat unclear to me.

1 DR. GEORGE COBB: I think I agree.

2 DR. MARY OTTINGER: I didn't know if  
3 you wanted some further explanation. I have some  
4 papers that I will provide on some of these modes  
5 of action as well.

6 DR. GEORGE COBB: Okay. That sounds  
7 great. Dr. Fenner-Crisp.

8 DR. PENELOPE FENNER-CRISP: I  
9 probably should have brought this up sooner, but I  
10 just thought of it now as you were talking about  
11 wastewater -- and wastewater treatment.  
12 Wastewater treatment comes with a byproduct --  
13 sludge. And often the sludge -- except that now  
14 EPA calls it biosolids -- is applied to  
15 agricultural areas broadly.

16 Is the agency considering that  
17 particular source route of exposure in any of its  
18 assessments -- not just with this chemical but for  
19 any one of them? We may be getting re-exposed to  
20 them through agricultural use.

21 DR. GEORGE COBB: I do not remember  
22 that. There's a soils question later, and maybe

1 some folks that were a part of that can respond.  
2 I don't recall that. And the EPA can perhaps  
3 answer that question when we turn it back over to  
4 them.

5 **DR. PENELOPE FENNER-CRISP:** It came  
6 to mind because it's such a seminal point -- now,  
7 with another category of chemicals, obviously.

8 **DR. GEORGE COBB:** Exactly.

9 **DR. PENELOPE FENNER-CRISP:** Yeah.

10 **DR. GEORGE COBB:** Are there other  
11 comments from the Committee? Dr. David, did we  
12 adequately capture your thoughts and have that  
13 discussion thoroughly?

14 **DR. RAYMOND DAVID:** Relative to --

15 **DR. GEORGE COBB:** To the question of  
16 the toxicity in the laboratory studies.

17 **DR. RAYMOND DAVID:** Yes. Yes.

18 **DR. GEORGE COBB:** Okay. All right.  
19 If there are no further questions -- Dr. Li, and  
20 discussants, and others who have spoke up here --  
21 I appreciate that dialogue. And now we can turn  
22 it back over to EPA for clarifying questions. And

1 I think if there are no clarifying questions, it  
2 may be about time for a lunch break. But let's  
3 get through the clarifying questions first.

4 **DR. ANNA LOWIT:** Dr. Cobb, can you  
5 hear me? (inaudible 02:41:49 video).

6 **DR. GEORGE COBB:** We're having a  
7 hard time hearing you, Dr. Lowit.

8 **DR. ANNA LOWIT:** Take off the  
9 headset. I'll see if that works better for you.

10 A couple things -- on the  
11 conversation that the Panel just had around the  
12 Daphnia studies, it would be most helpful to us to  
13 see the broad swath of the opinions to ensure that  
14 all the opinions on this topic are represented in  
15 the report.

16 Because I'm hearing a differing set  
17 of opinions, and I'd like to make sure that's  
18 reflective in the report so that we can look at  
19 the totality of the input to decide how to move  
20 forward on that -- is -- that's possible.

21 **DR. GEORGE COBB:** I totally agree.  
22 And that's why I was asking for comments more than

1 once, just to make sure we had cap- -- I'm sorry,  
2 my unmute button was not working --

3 **DR. ANNA LOWIT:** I heard you.

4 **DR. GEORGE COBB:** Okay. I want to  
5 make sure that all of these comments are captured.  
6 And I think Dr. Li will certainly do that. And I  
7 know that Dr. Ottinger had some comments. And Dr.  
8 David is on a related question -- he's actually on  
9 this question. So I'm sure we're going to capture  
10 everybody's comments there.

11 **DR. ANNA LOWIT:** Okay.

12 **DR. GEORGE COBB:** As well as the  
13 Committee, if they start reading the report,  
14 they'll be able to comment as well.

15 **DR. ANNA LOWIT:** Okay. Excellent.  
16 And a quick thing on -- Dr. Fenner-Crisp -- the  
17 biosolids, when this question comes back up, we  
18 did look at biosolids in the Environmental Media  
19 Technical Support Document, Section 3.1. So when  
20 that circles back around, make sure that that's  
21 part of that conversation.

1           But I just did want to put in sort  
2 of a plug and maybe a request. So today has been  
3 amazing. I can't tell you how much we appreciate  
4 the detailed, thorough comprehensive comments on  
5 1.a and where we -- so far in 1.b.

6           The kinds of comments that we're  
7 getting are just amazing. I love the specificity  
8 of the line numbers and the table numbers and the  
9 single numbers. Those are the most useful kinds  
10 of comments because it gives us specificity on how  
11 to improve.

12           But I would request, as part of the  
13 write-up, that, if you all can do two things for  
14 us -- first, there's a lot of stuff in there. Set  
15 some priorities of the things that are most  
16 important, related to whether it's DIDP -- or the  
17 other ones for that matter -- understanding the  
18 time and resource limitations we have.

19           So not necessarily in rank order,  
20 but if there are some that are particularly  
21 important to the panel that really need to be

1 addressed more than other things that may take  
2 longer to address -- that would be really helpful.

3 And then, secondarily -- related to  
4 that -- it's also helpful in the comments to help  
5 us understand how a particular recommendation  
6 impacts the risk -- or the exposure, for example.  
7 So if a particular comment suggests that we're  
8 underestimating risk, I'm really interested in  
9 those.

10 If we can be more explicit about  
11 those where the Panel thinks we're underestimating  
12 risk as opposed to maybe we're overestimating risk  
13 in other places -- and the suggestions -- albeit  
14 really valuable -- will help us refine those. And  
15 so that will also help us make choices about how  
16 to use our time and our resources and how it'd  
17 impact the other phthalates for that matter.

18 If I can just put in those two  
19 requests -- because there's so much -- we'll do  
20 our best, but we have limitations, so --

21 **DR. GEORGE COBB:** Yeah. Thank you  
22 for the clarifications and for that request of

1 order. We try to do that, but we don't always get  
2 to that point either. So were there other  
3 clarifying questions about this?

4 **DR. ANNA LOWIT:** No, I don't think  
5 so, unless my -- the team in North Carolina wants  
6 to jump in. But I don't think so.

7 **MR. ANTHONY LUZ:** Dr. Cobb, this is  
8 Tony Luz with EPA. I think Dr. Lowit covered our  
9 questions and clarifications. So we're good here.

10 **DR. GEORGE COBB:** Okay. So with  
11 that, we are not at a lunch break, but we're close  
12 enough that I think we should prob- -- and we're  
13 relatively far ahead of our schedule -- so I would  
14 like to propose that we take a lunch break until  
15 1:00 p.m. -- no, would that be 2:00 p.m. Eastern -  
16 - an hour and 15 minutes-ish -- an hour and 12  
17 minutes-ish? Dr. Kamel, is that okay?

18 **DR. ALAA KAMEL:** Yeah, sure. One  
19 hour from now is okay.

20

21

[LUNCH BREAK]

22



1 DR. ALAA KAMEL: All right. Is Dr.  
2 Cobb back?

3 DR. GEORGE COBB: Oh, I am here,  
4 yes.

5 DR. ALAA KAMEL: Oh, okay.

6 DR. GEORGE COBB: I thought you  
7 could see me.

8 DR. ALAA KAMEL: Yeah. Maybe I  
9 needed to push that blue arrow to see you. Yeah.

10 DR. GEORGE COBB: Well, all right.  
11 Alaa, do you need to say anything  
12 before we reconvene?

13 DR. ALAA KAMEL: No. You can  
14 proceed with the charge questions.

15 DR. GEORGE COBB: Yes.

16 Thanks, everyone. I hope you had a  
17 good lunch, and we're back to work through our  
18 charge questions a bit.

19 One thing that we normally do before  
20 we resume our work is we will circle back and see  
21 if there are any clarifying comments for the  
22 things that we covered before the lunch.

1 I know I need to take the roll, but  
2 I wanted to make that announcement so that folks  
3 who are ad hocs or new to the Committee will know  
4 that we're going to circle back and see if there's  
5 any clarifying comments based on what we had said  
6 this morning or earlier in the day.

7 Let's go through the roll.

8 Dr. Apte.

9 **DR. UDAYAN APTE:** Present.

10 **DR. GEORGE COBB:** Dr. Baker.

11 **DR. MARISSA BAKER:** Present.

12 **DR. GEORGE COBB:** Dr. Chaisson.

13 **DR. CHRISTINE CHAISSON:** Present.

14 **DR. GEORGE COBB:** Dr. Eick.

15 **DR. STEPHANIE EICK:** Present.

16 **DR. GEORGE COBB:** Dr. Fong.

17 Dr. Gentry.

18 **DR. ROBINAN GENTRY:** Present.

19 **DR. GEORGE COBB:** Dr. Graham.

20 **DR. CYNTHIA GRAHAM:** Here.

21 **DR. GEORGE COBB:** Dr. Heiger-

22 Bernays. Oh, okay.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

Ms. Jenkins.

**MS. ALLISON JENKINS:** Present.

**DR. GEORGE COBB:** Dr. Li.

**DR. LI LI:** Present.

**DR. GEORGE COBB:** All right. Dr.

Merced-Nieves.

**DR. FRANCESKA MERCED-NIEVES:**

Present.

**DR. GEORGE COBB:** Dr. Ottinger.

**DR. MARY OTTINGER:** Present.

**DR. GEORGE COBB:** Dr. Przybyla.

**DR. JENNIFER PRZYBYLA:** Present.

**DR. GEORGE COBB:** Dr. David.

**DR. RAYMOND DAVID:** Present.

**DR. GEORGE COBB:** Dr. Fanning

**DR. ELINOR FANNING:** Present.

**DR. GEORGE COBB:** Dr. Fenner-Crisp.

**DR. PENELOPE FENNER-CRISP:** Present.

**DR. GEORGE COBB:** Dr. Howdeshell.

**DR. KEMBRA HOWDESHELL:** Present.

**DR. GEORGE COBB:** Dr. Martinez.

**DR. JEANELLE MARTINEZ:** Present.

1 DR. GEORGE COBB: Dr. Shuman-  
2 Goodier.

3 DR. MOLLY SHUMAN-GOODIER: Present.

4 DR. GEORGE COBB: Dr. Spade.

5 DR. DANIEL SPADE: Present.

6 DR. GEORGE COBB: Dr. Wolf.

7 DR. DOUGLAS WOLF: Present.

8 DR. GEORGE COBB: I see you.

9 All right. Let's circle back really  
10 quick and see if there are any clarifying comments  
11 based on Charge Question 1 and the first two parts  
12 of Charge Question 1. So, 1.b.a, all of it, and  
13 the first two parts of 1.b.ii under any clarifying  
14 comments.

15 I see Dr. Reif.

16 DR. DAVID REIF: I'm sorry. I only  
17 saying present.

18 DR. GEORGE COBB: Oh.

19 DR. DAVID REIF: I'm always after  
20 Dr. Przybyla, so --

21 DR. GEORGE COBB: I think I -- oh,  
22 you know what? I was looking forward to someone

1 who was not here to make sure I captured that, and  
2 I missed you. I'm so sorry.

3 **DR. DAVID REIF:** No worries, just  
4 for the record. Yeah, so --

5 **DR. GEORGE COBB:** Are there any  
6 clarifying comments from the charge questions  
7 we've covered so far today?

8 Okay. If not, we'll turn it over to  
9 EPA to read in the next charge question, which I  
10 have as 1.b.iii.

11 **DR. ANTHONY LUZ:** Hi. This is Tony  
12 Luz here at EPA. I'll read in Part 3 of Charge  
13 Question 1.b.

14

15 **CHARGE QUESTION 1.b.iii**

16

17 In light of comments on Charge  
18 Questions 1.b.i and 1.b.ii, please comment on the  
19 weight of scientific evidence and its conclusions  
20 for the general population exposure assessments on  
21 Subsection 11.3 of the Draft Environmental Media  
22 and General Population Exposure.

1                   Please include in these comments a  
2                   discussion of the clarity and transparency of the  
3                   data used, and EPA's interpretation of the  
4                   exposure results.

5                   Thank you.

6                   **DR. GEORGE COBB:** Thank you for  
7                   getting that on the record.

8                   Our lead discussant is Dr. David.  
9                   He'll summarize for the group, and then we'll  
10                  proceed to their comments as well.

11                  **DR. RAYMOND DAVID:** Thank you.

12                  **DR. GEORGE COBB:** Go ahead.

13                  **DR. RAYMOND DAVID:** In its  
14                  concluding statements concerning the exposure to  
15                  the general population to DIDP, the Agency  
16                  expressed robust confidence in the model exposure  
17                  levels that resulted in no pathways of concern.  
18                  This confidence was supported by a comparison to  
19                  the model exposure levels to exposure data  
20                  calculated from the NHANES data by a monitoring  
21                  data, which provide an aggregate exposure. That  
22                  comparison indicated that the NHANES data were

1 below the model exposure levels, suggesting that  
2 the model levels overestimated the actual  
3 exposure.

4           The reviewers support the Agency's  
5 conclusion with the understanding that reverse  
6 dosimetry, the calculation, has some limitations.  
7 So, firstly, that the urinary excretion factor for  
8 DIDP, or it's metabolites, have not been  
9 determined experimentally, and so the Agency used  
10 the excretion factor for MCOP, a metabolite of  
11 DINP. Although this is certainly likely to be a  
12 reasonable substitute, as EPA proposed, it seems  
13 unlikely that there would be big differences in  
14 the excretion amounts. But that is a limitation  
15 or an uncertainty.

16           The other is that the NHANES samples  
17 are from a limited population, although the Agency  
18 has noted on page 57, Section 10.2, that the data  
19 set is considered representative of a national  
20 sample or representative of the entire civilian  
21 population. Certainly, NHANES represents an  
22 aggregate exposure, so it would be very

1 comprehensive. The EPA also cited that other  
2 regulatory agencies have used NHANES data or  
3 biomonitoring data, and so there is some  
4 consistency in the approach that EPA has taken.

5 There were comments provided on some  
6 of the transparency and clarity questions within  
7 the document, and those will be included in the  
8 written reports. I don't think it's necessary to  
9 go through them one by one at this point.

10 I open this up to the other  
11 discussants to add any additional comments if they  
12 have them.

13 George?

14 **DR. GEORGE COBB:** Thank you for that  
15 summary. I'll turn it over to the discussants  
16 now.

17 Dr. Chaisson?

18 **DR. CHRISTINE CHAISSON:** No further  
19 comment. Thank you.

20 **DR. GEORGE COBB:** Ah. Thank you.

21 Dr. Li?



1 DR. LI LI: No additional comments.

2 Thanks.

3 DR. GEORGE COBB: Dr. Reif?

4 DR. DAVID REIF: No further  
5 comments.

6 DR. GEORGE COBB: Dr. Gentry?

7 DR. ROBINAN GENTRY: No further  
8 comments.

9 DR. GEORGE COBB: All right. Well,  
10 thanks to everyone there.

11 I think that from my perspective,  
12 there were a few sources that could have been  
13 better handled, but I believe we covered those in  
14 Charge Question 1. I agree with the first  
15 dosimetry approach that Dr. David really captured  
16 quite well there.

17 What about the rest of the  
18 committee? Do we have comments from the rest of  
19 the committee?

20 Seeing none, we can turn this over  
21 to the EPA for clarifying questions.

22 DR. ANTHONY LUZ: Thanks, Dr. Cobb.

1 This is Tony of EPA. No questions  
2 from us.

3 **DR. GEORGE COBB:** Okay. So, we can  
4 get into the next charge question then.

5 **DR. ANTHONY LUZ:** Okay. Now I'll  
6 read into the -- now I'll read in Part 4 of Charge  
7 Question 1.b.

8

9 **CHARGE QUESTION 1.b.iv**

10

11 For the remaining phthalates (i.e.,  
12 DEHP, DBP, DIBP, BBP, DCHP, and DINP), EPA  
13 anticipates potentially needing to refine the  
14 exposure assessment to the environment and general  
15 population.

16

17 Please suggest exposure data  
18 sources, models, and related methods for  
19 estimating concentrations and environmental media  
20 paying special attention to those media most  
21 relevant to phthalates, e.g., water, sediment, and  
22 soil. In your consideration, please keep in mind  
that methods, data, and approaches should be

1 reasonably available and can be conducted in a  
2 timely fashion that allows EPA to meet statutory  
3 timelines for TSCA risk evaluations.

4 Thank you.

5 **DR. GEORGE COBB:** Thank you for  
6 getting the charge question on the record.

7 Dr. Chaisson is our lead discussant.

8 **DR. CHRISTINE CHAISSON:** Thank you,  
9 Dr. Cobb.

10 This is Dr. Chaisson.

11 Many comments about the approaches  
12 for exposure assessment and risk assessment have  
13 been offered in previous comments today. These  
14 will be accrued into this charge question's  
15 written report with details and references,  
16 including for the exposure scenarios not yet  
17 considered by EPA.

18 Below is a specific example of  
19 issues expected to reappear for EPA as they  
20 utilize their current models with the choice of  
21 parameters for incorporation into their algorithms  
22 for the environmental media. These issues, with

1 methods and applications of data, can magnify when  
2 multiple chemicals are considered cumulative  
3 exposures to the cumulative risk assessments.

4 The Committee noted a major issue  
5 regarding EPA's use of different models targeting  
6 different environmental media, such as the  
7 variable volume water model for surface water and  
8 the air mode for air swopes deposition. This may  
9 be acceptable or even ideal for chemicals that  
10 primarily reside in a single medium such as PFAS  
11 in water or volatile organic compounds in air.

12 However, it may not appropriately  
13 consider the multimedia behavior of DIDP.  
14 Chemicals with a high Kow and a high Kon.  
15 Therefore, there may be inconsistent  
16 considerations when assessing different  
17 environmental media. For example, when  
18 calculating the chemical concentrations in water,  
19 the EPA considered the deposition of chemicals  
20 from the air, which is a process that is not  
21 directly considered by them a variable volume

1 water model. The EPA relied on atmospheric  
2 deposition rates derived from AERMOD.

3 However, it should be noted that the  
4 two models used different environmental settings  
5 and spatial scales. AERMOD considers there is  
6 distances from the source from 10 meters to 10,000  
7 meters in a large area, but the variable volume  
8 water model considers placing only a small water  
9 body like that swimming pool size with a five  
10 meters length of 40 meters and depth of 1 meter in  
11 a place adjacent to the source.

12 In this case, we can imagine that  
13 the calculated water contamination is  
14 unrealistically higher than the calculated soil  
15 contamination. Therefore, it's clear the separate  
16 considerations in water and soil contamination  
17 lead to inconsistencies. Therefore, the U.S. EPA  
18 may consider using multimedia mass balance models  
19 for semi-volatile organic chemicals like the  
20 phthalates.

21 We must acknowledge that single-  
22 medium models are advantageous because they focus

1 on a single medium at a time, which allows them to  
2 use sophisticated representations of individual  
3 media and detailed characterization on individual  
4 physical chemical and biological processes.

5 Since multimedia mass balance models  
6 need to accumulate -- or sorry -- accommodate  
7 multiple environmental media, they have to use  
8 relatively coarse spatial resolutions and simplify  
9 the algorithms for processes within each medium.  
10 But their advantage is that they can integrate  
11 multiple environmental media simultaneously,  
12 consider the interactions between them.

13 There are many multimedia mass  
14 balance models available ranging from those very  
15 simple configurations like unit world level III  
16 model built into the EPI Suite to more advanced  
17 models like the risk assessment identification and  
18 ranking radar model for the PROTACs model or the  
19 USEtox model. They contain both aquatic and  
20 terrestrial environmental media and support  
21 considering multiple exposure pathways at the same  
22 time. This may be particularly important when

1 multiple phthalates are considered in any kind of  
2 cumulative exposure assessment or when comparing  
3 results across different kinds of phthalates,  
4 which are embedded in different plastics or other  
5 form of media.

6 That's my conclusion there.

7 Dr. Cobb?

8 **DR. GEORGE COBB:** Thank you.

9 Let's see what we can hear from our  
10 associates. Dr. Li is our first associate.

11 **DR. LI LI:** No further comments.

12 **DR. GEORGE COBB:** Dr. David?

13 **DR. RAYMOND DAVID:** No further  
14 comment.

15 **DR. GEORGE COBB:** Dr. Reif?

16 **DR. DAVID REIF:** No further  
17 comments.

18 **DR. GEORGE COBB:** Dr. Przybyla.

19 **DR. JENNIFER PRZYBYLA:** No further  
20 comments.

21 **DR. GEORGE COBB:** All right. Let's  
22 see what the rest of the Committee has to say.

1           Are there further comments from the  
2 remainder of the Committee?

3           I will add that there are several  
4 references that I have that we can add to the end  
5 of this that will, I think, help. But I don't  
6 think it's worth enumerating those, but there --

7           **DR. CHRISTINE CHAISSON:** Yes.

8           **DR. GEORGE COBB:** There are --

9           **DR. CHRISTINE CHAISSON:** That's  
10 great.

11          **DR. GEORGE COBB:** They are new  
12 reference.

13          **DR. CHRISTINE CHAISSON:** Thank you,  
14 Dr. Cobb. That's great.

15          **DR. GEORGE COBB:** Let's turn this  
16 back to the EPA.

17          **DR. CHRISTINE CHAISSON:** Dr. Cobb,  
18 maybe the other --

19          **DR. GEORGE COBB:** Did I miss  
20 someone?

21          **DR. CHRISTINE CHAISSON:** No, but the  
22 general --



1                   **DR. GEORGE COBB:** I think we did  
2 that.

3                   **DR. CHRISTINE CHAISSON:** Oh, I'm  
4 sorry. I missed that.

5                   **DR. GEORGE COBB:** I think we did  
6 that.

7                   **DR. CHRISTINE CHAISSON:** Sorry.

8                   **DR. GEORGE COBB:** Do it in order.  
9 We can turn it over to Dr. Luz or  
10 one of his colleagues to see if there are  
11 clarifying questions.

12                   **DR. ANTHONY LUZ:** Thanks, Dr. Cobb.  
13 This is Tony with EPA. Nothing from  
14 us. Thank you.

15                   **DR. GEORGE COBB:** All right. I  
16 think we can move on to the next charge question,  
17 which is we're going to a new subheading 1.c.

18                   **DR. ANTHONY LUZ:** Thanks. Now I'm  
19 going to read Charge Question 1.c noting that it  
20 has two parts.

21

**CHARGE QUESTION 1.c**

As described in Section 5 of the Draft Environmental Exposure Assessment for DIDP, EPA conducted a screening trophic transfer analysis to estimate dietary exposure resulting from modeled surface water releases and air deposition to soil, including use of monitoring and biomonitoring data. The resulting dietary exposure estimates were compared to the hazard threshold for semi-aquatic and terrestrial mammals. EPA anticipates that the exposure methodologies demonstrated in this Draft Risk Evaluation for DIDP will be applicable to DINP exposure scenarios.

**CHARGE QUESTION 1.c.i**

Part 1 of this question: Please comment on the methods and data used for estimating dietary exposures for ecologically relevant species and comparison of the exposure

1 estimates to the hazard threshold for terrestrial  
2 mammals.

3 Thank you.

4 **DR. GEORGE COBB:** Thank you.

5 Our lead discussant for this charge  
6 question is Dr. Li.

7 **DR. LI LI:** Okay. Thanks.

8 Now I am presenting the -- first,  
9 I'm presenting the general comments from the  
10 subcommittee.

11 EPA looked into the potential  
12 dietary exposure of concerns of terrestrial  
13 mammals. They used the models and the available,  
14 also, somewhat limited exposure and toxicity  
15 information to run a screen level analysis using  
16 representative species. They explained why they  
17 chose the certain surrogate species, why they  
18 picked some exposure fractures and discuss how  
19 relevant these choices were, all in a transparent  
20 manner.

21 Overall, the subcommittee believes  
22 the method and data the EPA used for estimating

1 dietary exposures are reliable, reasonable,  
2 effective, and relevant. They reflect a  
3 conservative approach to estimation. The methods  
4 and data are clearly presented. Especially, the  
5 Figure 5-1 is particularly helpful. The method  
6 makes sense, and they include the likely  
7 concentrations and the considerations.

8           However, there is a major concern  
9 about the use of the IDP concentrations in  
10 sediment. It seems like that the IDP  
11 concentrations might be overestimated by an  
12 unknown degree. This estimation is suggested by  
13 the prediction that the IDP concentrations in  
14 water exceeding the water solubility by a hundred  
15 thousand times.

16           If the EPA has a high confidence in  
17 these extremely high concentrations, these numbers  
18 can lead to exposure levels that are very close to  
19 the NOAEL and the LOAELs from reproductive and  
20 developmental studies. So, if we concede it  
21 uncertainties in deriving these toxicity  
22 threshold, being very close to these levels in the

1 case, the possibility of reproductive and  
2 developmental effects.

3 Now I'm introducing the many issues  
4 identified by the Subcommittee.

5 The first issue is the  
6 overestimation of the IDP concentrations in  
7 sediment. So, Section 3.2 shows that the  
8 calculation of exposure of aquatic species were  
9 based on "conservative modeling approaches that  
10 produce high concentrations of DIDP in sediment,"  
11 which can be "16,560 milligrams per kilogram for  
12 the COUs and the OES with the highest of surface  
13 water release and resulting sediment  
14 concentration."

15 One comment is: Tables 5-4 and the  
16 5-5 show much lower concentrations from samples  
17 taken in Taiwan, Sweden, and Canada. Are there  
18 any insights from location or part pollution  
19 source that may explain these differences? Do  
20 these differences reflect an overestimation? OSHA  
21 has the differences in sampling design or  
22 analytical methods.

1                   Another comment: As mentioning our  
2 earlier discussion, DIDP concentrations in water  
3 and the sediment were calculated by VVWM PSC model  
4 may be significantly overestimate as additives  
5 that by the 100,000 times higher concentration of  
6 the IDP in water compared to a water solubility.  
7 If the IDP concentration are calculated based on  
8 this predicted concentration, then there is also a  
9 possibility of overestimation of the ecological  
10 effects. The IDP concentrations are asked to be  
11 16,000 and the 560 milligram per kilogram in  
12 sediment, which means 1.6 percent of the sediment  
13 mass is made by the IDP.

14                   I'm glad to see that the EPA  
15 presented two sets of calculations of the IDP  
16 concentrations in Tables 3-1, one based on the  
17 model's sediment concentration and the other based  
18 on the measured concentration. Measured sediment  
19 concentration reported in the literature.

20                   However, it is unfortunate to see  
21 only chironomid DIDP concentrations based on the  
22 model sediment concentrations were used to

1 calculate the fish exposure as shown in Table 5-2.  
2 On the other hand, Section 6 also indicated  
3 overestimation of the IDP concentration in  
4 sediment.

5 We got one comment: Section 6  
6 discusses confidence in the model of the  
7 concentration being overestimates on the lack of  
8 publication from the U.S. involving sampling and  
9 the measurement of the IDP reflective of the  
10 availability of research or the criteria used to  
11 evaluate the quality of the research.

12 The second issue we identified is  
13 the difference between exposed weight, which is  
14 dose, and the concentration as shown in Table 5-2  
15 on page 17.

16 We got a comment: When calculating  
17 dietary exposure for American mink, the IDP  
18 concentration in mink were per kilogram. In fish,  
19 it's needed as shown in Table 5-2. In that table,  
20 it's stated that fish concentration is calculated  
21 from the IDP contaminating sediment ingestion and  
22 that the IDP contaminated pre-injection values

1 presented in Table 5-4. However, Table 5-4 only  
2 presents the calculation fish dietary exposure  
3 rate, which is in milligram per kilogram per day.

4 I was wondering whether the EPA has  
5 converted a fish dietary exposure rate, which is a  
6 dose, to fish concentration, which is a  
7 concentration, and if so, what formula or method  
8 was used for this conversion?

9 A certain major issue identified is  
10 the use of representative species as shown in  
11 Section 5. So, EPA chose a short-tailed shrew, a  
12 black tail horse, a red horse, and American mink  
13 as representative species or sentinels for trophic  
14 transfer assessment.

15 One comment is: Are there any  
16 biological data from any of these representative  
17 species that demonstrate degree of sensitivity to  
18 the IDP? What are the measurement endpoints that  
19 would be selected to observe effects although not  
20 directly relevant to dietary exposure? The  
21 selection of representative species should be



1 further rationalized in terms of predictive  
2 response to varied level of exposure.

3 Another comment is: Modeling the  
4 dietary exposure scenario should contain some  
5 information about potential related adverse  
6 outcome and information from those selected  
7 representative species, such as relative  
8 sensitivity to comments and the potential exposure  
9 to other stressors in the environment that might  
10 affect the adverse effect from the dietary  
11 exposure.

12 The last major issue we identified  
13 is a potential toxicological effects at the  
14 predicted exposure level. Section 7, especially  
15 Table 7-1 and 7-2, show both dietary exposure  
16 estimates and the DIDP mammal toxicity reference  
17 value, all TRV in parallel. EPA concluded that  
18 exposure concentration below that the TRV.

19 The commenters, first: The  
20 comparison of dietary exposure estimates to the  
21 TRV could also be displayed in the form of a table  
22 for increased clarity.

1                   Second: The uncertainty that  
2                   underlined the derivation of TRV, which used a  
3                   human health model as a representative of all  
4                   terrestrial mammals lab to field difference,  
5                   interspecies variability, et cetera, may not be  
6                   reflected in the current value as if derived,  
7                   calculated as a geometric mean with no assessment  
8                   factor or error.

9                   Third: The dietary exposure  
10                  estimate for mink, which is 92 milligram per  
11                  kilogram per day, appears within the range of  
12                  NOAELs and LOAELs from the studies displayed in  
13                  Figure 6-1 used to derive the TRV. Exposure  
14                  estimates also surpassed the non-cancer PODs  
15                  before application of UFs select from the same  
16                  pool of rodent studies for human health  
17                  assessment. Acknowledging the differences in  
18                  conversion between human health ecological  
19                  assessments, the EPA may still wish to consider  
20                  whether these overlap indicating there is a  
21                  possibility for reproductive and the developmental

1 effects relevant to terrestrial mammals from the  
2 high-end estimates for dietary exposure.

3 Then I also named a few minor or  
4 editorial issues. Line 318 on page 14, the  
5 sentence reads, "The IDP is expected to have low  
6 potential for bioaccumulation and biomagnification  
7 in both aquatic and the terrestrial organic  
8 organisms."

9 Comment: Does this thereby suggest  
10 that this is a short-term issue with limited  
11 potential impacts?

12 Then, lines 351 through 352 on page  
13 14, the sentence reads: Because surface water  
14 source of wildlife water ingestion are typically  
15 in the ferment row, the trophic transfer analysis  
16 for terrestrial organisms assume that the IDP  
17 exposure concentration for wildlife water intake  
18 are equal to soil concentration for each  
19 corresponding exposure scenario.

20 Commenter one: Please clarify if  
21 this statement -- it seems to indicate that the  
22 IDP exposure via injection of water assumed to be

1 equal to DIDP exposure via soil injection. If  
2 that is the case, simply rephrase more clearly.

3 Commenter two: I was wondering how  
4 the soil concentration is used for equations such  
5 as equation 5-1 that required water concentration  
6 as input given that they have completely different  
7 units milligram per kilogram dry weight versus  
8 milligram per liter.

9 Next one, lines 435 to 441 on page  
10 17, comment: This text is redundant with text in  
11 the preceding section.

12 Then, line 448 on page 18 comment:  
13 Contaminated level should be contaminant  
14 concentration.

15 Lines 477 to 478 on page 19, the  
16 sentence reads: As a conservative assumption, 100  
17 percent of the American minx diet is predicted to  
18 come from fish.

19 Comment: Does this text mean  
20 (inaudible) no sediment in the diet or that  
21 organism X compromises 100 percent of non-sediment  
22 diet.

1                   Finally, Table 5-3 on page 19: The  
2 table states that estimated DIDP concentration in  
3 representative soil invertebrate earthworm assume  
4 equal to aggregate highest and the lowest  
5 calculated soil via air that position to soil.

6                   Commenter one: Can you please  
7 clarify how this was done?

8                   Commenter two: Were there any data  
9 from measurement concentrations in earthworms?

10                   I think that's everything I got from  
11 the Subcommittee.

12                   **DR. GEORGE COBB:** Excellent. Thank  
13 you for that very nice summary. I know there was  
14 a lot there and thank you again for doing that.

15                   Let's go to the other discussants  
16 now.

17                   Dr. Ottinger?

18                   **DR. MARY OTTINGER:** Nothing further.  
19 That was an excellent summary. Thank you.

20                   **DR. GEORGE COBB:** Dr. Shuman-  
21 Goodier?

1                   **DR. MOLLY SHUMAN-GOODIER:** Yes.

2 Thank you to Dr. Li. No further comments. Mine  
3 were captured.

4                   **DR. GEORGE COBB:** Thank you.

5 Dr. Reif?

6                   **DR. DAVID REIF:** No further  
7 comments. He captured everything.

8                   **DR. GEORGE COBB:** Dr. Apte.

9                   **DR. UDAYAN APTE:** No further  
10 comments.

11                   **DR. GEORGE COBB:** One thing I will  
12 point out, and there was a fair amount there about  
13 overestimation of risk. One of the things was  
14 comparison of the estimates to measured  
15 concentrations in water -- measured the IDP  
16 concentrations in water. To my read of the  
17 articles that were referenced for that aspect,  
18 there were no industrial effluents tested.

19                   There were, perhaps, wastewater  
20 treatment effluents, perhaps watersheds -- full  
21 watersheds -- perhaps streams or embayments, but  
22 there were no industrial outfalls or measurements

1 near industrial outfalls that were measured. If I  
2 misread that, someone can please correct me.

3 The other point is, all of these  
4 data that we're gnashing our teeth over, these  
5 effluent data, could have been provided by those  
6 applying for this expedited review, and had that  
7 been done, we wouldn't be having to worry -- nor  
8 would EPA be having to worry -- about these  
9 aspects. So, I would humbly offer that perhaps  
10 that should be part of the requirements before any  
11 more expedited reviews are done that release data,  
12 perhaps empirically measured release data, are  
13 provided.

14 Let's go to see if the rest of the  
15 Committee has any comments.

16 Dr. Chaisson.

17 **DR. CHRISTINE CHAISSON:** Yes. Thank  
18 you, Dr. Cobb.

19 I see that this includes calculating  
20 dietary exposures with recidivism intake for these  
21 particular terrestrial animals and, of course,  
22 we've had the same conversation with the aquatics.

1 As I mentioned yesterday, I'm very interested. It  
2 seems that we're very nicely -- using these  
3 methods, we could get to a presumed representative  
4 dietary residue that could be used for dietary  
5 exposures to humans from the phthalates.

6 Considerations might include our  
7 bird eggs, especially those nesting near water  
8 surfaces known to accrue higher levels of the  
9 phthalates than we're discussing here. Should  
10 birds consuming terrestrial and aquatic animals be  
11 considered as well for sentinel animals?

12 Now, I'm way out of my league here  
13 in terms of knowing about this, but this is  
14 tantalizingly close to coming up with some kind of  
15 representative levels for estimating human  
16 exposure via subsistence diets. We'll probably  
17 never have the kind of databases that we would  
18 love to have for those kinds of animals, but this  
19 kind of information and the use of these models  
20 seem to be enticingly close.

21 We could at least have some  
22 representative values, if you will, that we could



1 look at, estimated human exposure scenarios with  
2 the phthalates.

3 Thank you. I'm hoping that this  
4 Subcommittee might at least address this as a --  
5 whether or not it's a plausible use of the data,  
6 and if so, advise EPA on how that might be done.

7 Thank you so much.

8 **DR. GEORGE COBB:** Thank you.

9 Dr. Ottinger.

10 **DR. MARY OTTINGER:** I really  
11 appreciate Dr. Chaisson's comment. The short  
12 answer is, yes, there are some studies available  
13 that could be used to get some estimates. I don't  
14 know if there are any for this particular  
15 phthalate but certainly for other phthalates.

16 I think in our write-up we'll have  
17 more references that can be perhaps utilized by  
18 the EPA to see if it's similar to what the risk  
19 associated levels might be for at least some of  
20 the representative species that are used as  
21 sentinels.

1                   **DR. GEORGE COBB:** All right. Thank  
2 you. That would be very helpful information.

3                   Are there other comments from the  
4 Committee?

5                   If not, we can turn it back to the  
6 EPA, Dr. Luz or colleagues, for clarifying  
7 questions and/or the next charge question.

8                   **DR. LI LI:** We do have two raising  
9 hands.

10                  **DR. ANTHONY LUZ:** Thanks, Dr. Cobb.

11                  **DR. GEORGE COBB:** Excuse me, Dr. Li,  
12 did you have something else?

13                  **DR. LI LI:** I saw two raising hands.

14                  **DR. GEORGE COBB:** Oh, well, I think  
15 Dr. Chaisson's is still up, but I did not see Dr.  
16 Fanning. I'm sorry to miss that.

17                  Dr. Fanning.

18                  **DR. ELINOR FANNING:** Oh, that's  
19 fine.

20                  I wanted to add, in light of what  
21 was raised by Dr. Li and his Subcommittee -- very  
22 clearly presented. Thank you -- concerning the

1 limitations of the environmental monitoring data -  
2 - and that was reinforced by Dr. Cobb -- I would  
3 like to reiterate a comment that I made earlier  
4 today about environmental releases. That is that  
5 for the modeling of environmental concentrations,  
6 all sources should be considered, and that should  
7 include in-use products that can contain DIDP,  
8 such as large outdoor sources to include vehicles,  
9 just to make sure that the inputs to this process  
10 are well captured and adequately modeled.

11 Thank you.

12 **DR. GEORGE COBB:** Thank you for  
13 relaying that. That is important.

14 Dr. Li, thank you for pointing out  
15 that I missed someone because I had covered part  
16 of the screen with my charge questions to make  
17 sure I got back to the right one.

18 Now we can turn to Dr. Luz and EPA  
19 for clarifying questions and/or our next charge  
20 question.

21 **DR. ANTHONY LUZ:** Thanks, Dr. Cobb.  
22 Thanks, Dr. Li.

1 We really appreciate the really  
2 thorough and thoughtful discussion response for  
3 this charge and all the previous ones.

4 We don't have any questions at this  
5 time, so I think we can move on to the next.

6 Okay. Next, I'm going to read Part  
7 2 for Charge Question 1.c.

8

9 **CHARGE QUESTION 1.c.ii**

10

11 For the remaining phthalates (i.e.,  
12 DEHP, DBP, DIBP, BBP, DCHP, DINP), EPA anticipates  
13 potentially needing to refine the environmental  
14 exposure assessments.

15 Please suggest exposure data  
16 sources, models, and related methods for  
17 estimating dietary exposures via environmental  
18 media paying special attention to those media most  
19 relevant to phthalates, e.g., water, sediment, and  
20 soil. In your consideration, please keep in mind  
21 that methods, data, and approaches should be  
22 reasonably available and can be conducted in a

1 timely fashion that allows EPA to meet statutory  
2 timelines for TSCA risk evaluations.

3 Thank you.

4 **DR. GEORGE COBB:** All right. We  
5 will turn it back over to Dr. Li, who was our lead  
6 discussant again.

7 **DR. LI LI:** Okay. Thanks. It's me  
8 again.

9 We got three comments from the  
10 Subcommittee.

11 The first comment talks about  
12 possible resources of data: Has EPA pulled data  
13 for the phthalates and the going risk evaluation  
14 from the Washington Department of Ecology EIN  
15 database? Several studies of phthalates have been  
16 conducted throughout the Puget Sound and the  
17 Washington state with measurements in sediment and  
18 the surface water. All these data are publicly  
19 available in the EIN database with links to any  
20 publications, QAPPs, and reports.

21 In the return report, we will  
22 provide you with the link.

1                   There may be studies that tested for  
2 various phthalates in marine and the freshwater  
3 organisms that were not captured in the systemic  
4 review, a systematic review process available in  
5 the recent 2020 review.

6                   In the written report, we will also  
7 provide you with this link.

8                   The second comment is about the  
9 methods: For the remaining phthalates that were  
10 determined to follow a similar mechanism of action  
11 or adverse outcome pathway, for example, anti-  
12 angiogenic, will future cumulative assessment  
13 concede as summing total phthalate exposure to  
14 organisms as a worst-case scenario and to  
15 acknowledge the reality of mixtures?

16                   EPA could also concede that  
17 evaluating how often DEHP, DBP, DIBP, BBP, DCHP,  
18 DINP, or their primary metabolites are detected  
19 together in organism or the environmental media,  
20 such as sediment to inform the future cumulative  
21 assessment.

1           The third comment is: According to  
2 the charge questions, the following phthalates  
3 will be reviewed and included. Five other  
4 phthalates: BBP, DBP, DCHP, DEHP, DIBP. These  
5 remaining phthalates will be reviewed. Do you  
6 think the method that is similar for each  
7 individual phthalate? However, their potential  
8 difference in hazard value and, consequentially,  
9 individual risk profiles. As such, each of these  
10 additional phthalates must be considered on an  
11 individual basis for their characteristics. If  
12 several of these phthalates exhibit similar  
13 characteristics and information on sources  
14 indicate that they are expected to be collected,  
15 then exposures will be most likely to be  
16 concurrent and reflective of nature in the  
17 environment.

18           It may be appropriate to combine the  
19 predicted concentration for exposure assessment.  
20 The predicted adverse outcome from exposures must  
21 be based on the exposure data from the literature  
22 if possible. If this data are not available, then

1 develop models based on the chemical  
2 characteristics and the predicted concentrations  
3 in the environment.

4           There appear to be many  
5 uncertainties that complicate environmental  
6 exposure assessment, including availability of  
7 data on relative concentrations of the remaining  
8 phthalates in water, sediment, soil, and if  
9 deposition from air is a significant source.

10           Our recommendation is to prioritize  
11 the remaining phthalates: DEHP, DBP, DIBP, BBP,  
12 DCHP, DINP beyond the DIDP and the DINP as to the  
13 following criteria: Likely concentrations in  
14 soil, land, air; potential sources from pond  
15 pollution and the chemical releases; volatility  
16 and other chemical characteristics, and potential  
17 adverse effects on wildlife, both aquatic and  
18 terrestrial. This is in acknowledgment that  
19 analytical and modeling approaches are going to be  
20 similar in approach to these already used for the  
21 IDP and the DINP.



1                   This additional evaluation will be  
2 added to DINP into accumulative risk assessment  
3 for review in early 2025.

4                   Okay. This is everything I got from  
5 this Subcommittee.

6                   Thanks.

7                   **DR. GEORGE COBB:** Okay. Let's turn  
8 to our other discussants now.

9                   Dr. Shuman-Goodier.

10                  **DR. MOLLY SHUMAN-GOODIER:** Thank  
11 you. My comments are captured. No further  
12 comments.

13                  **DR. GEORGE COBB:** Thank you.

14                  Dr. Ottinger.

15                  **DR. MARY OTTINGER:** Likewise. Thank  
16 you very much for a very complete summary.

17                  **DR. GEORGE COBB:** Dr. Reif.

18                  **DR. DAVID REIF:** Yeah. No further  
19 comments on the section.

20                  **DR. GEORGE COBB:** Dr. Baker.

21                  **DR. MARISSA BAKER:** Nothing further  
22 from me. Thank you.

1 DR. GEORGE COBB: All right.

2 Excellent. We're making good progress.

3 Do we have comments from the  
4 remainder of the Committee on Charge Question  
5 1.c.ii? Okay.

6 If not, I'll point out that we are  
7 actually where we're supposed to be at the end of  
8 the day today. We are going to proceed into  
9 charge questions that are scheduled for tomorrow.  
10 I just want to give people a heads up on that.

11 If I can, I did want to go back to  
12 one of the discussions we were having about the  
13 toxicity studies and the types of toxicities that  
14 were observed in those toxicity studies. I want  
15 to read a couple of passages from the two papers  
16 that tested Daphnia, among other species, but in  
17 which Daphnia were seen to be very sensitive  
18 species.

19 I'm just going to read a couple of  
20 sentences. This is from Adams et al. 1995: "The  
21 floating Daphnid problem resulted in test  
22 solutions" -- excuse me -- "in several tests being

1 repeated. The findings presented here are based  
2 solely on immobilization and not entrapment."

3 That particular study did not  
4 consider entrapment as part of their toxicity.  
5 That's the Adams '95 study.

6 If you go to the Rhodes '95 study,  
7 which was an allied large study that was actually  
8 well conducted in my estimation -- I'm sorry.  
9 Yeah. Here we go.

10 They did observe entrapment, but  
11 they note, "Daphnids became entrapped at the  
12 surface of control chambers in only one of  
13 fourteen studies."

14 Now, it does not say how many  
15 chambers in that one study, but they were also  
16 observing entrapment in their controls. There are  
17 a couple of things there that I think are  
18 important to note, specifically that Adams et al.  
19 did not include entrapment in their toxicity  
20 estimates. That's the endpoint.

21 Having read that in, are there  
22 comments from the Committee about that, or we just

1 let that go and move on to further discussion of  
2 other topics?

3 Is Dr. David trying to make a point?

4 **DR. RAYMOND DAVID:** Yes. I was  
5 about to raise my hand, but yes.

6 If we're reading from documents, I  
7 wanted to refer to the OECD document --

8 **DR. GEORGE COBB:** Yeah.

9 **DR. RAYMOND DAVID:** -- that  
10 discusses what to do, how to adjust the aquatic  
11 toxicity testing for poorly water-soluble  
12 materials.

13 One of the comments that they make -  
14 - and again, the caveat is, I'm a mammalian  
15 toxicologist. I've never done these, but I've  
16 read a little bit about it, so take it with a  
17 grain of salt.

18 One of the recommendations is to not  
19 use a static test system. Either renewal or flow  
20 through, which, to me, makes some sense because  
21 that way the poorly soluble substance doesn't have  
22 the opportunity to partition to the top.

1                   It recommends things like reducing  
2                   the ratio of test organisms, the biomass, to the  
3                   test solution. There may be -- so especially for  
4                   a chronic study where the organisms have to be fed  
5                   during the course of the study, particularly a 21-  
6                   day Daphnid test. Feeding the animals can, in  
7                   some cases -- the material may absorb onto the  
8                   food and remove chemical, actually, from the  
9                   exposure concentration.

10                   I'm not going to go through all of  
11                   these, but it's just to point out that there are  
12                   ways around which one can adjust the test system.  
13                   If, in fact, those were done for these studies,  
14                   then, okay, maybe we're talking about inherent  
15                   toxicity.

16                   If not, I really do think there is a  
17                   valid argument to say that this is not inherent  
18                   toxicity. This is something that is a mechanical  
19                   one.

20                   **DR. GEORGE COBB:** I'll just say,  
21                   this is an important discussion to have because we  
22                   want to capture the different perspectives and the

1 different reads of these documents and how to best  
2 utilize this data for making regulatory decisions.

3 I will say I really appreciate that  
4 comment. That's a very important comment.

5 Are there other comments?

6 All right. I'm seeing a request for  
7 a break.

8 Dr. Reif, let me ask you, do you  
9 feel like you can go through this next charge  
10 question before we take a break? Then maybe we  
11 take a break right after 1.d?

12 **DR. DAVID REIF:** For 1.d? I  
13 embarrassingly sent out a draft kind of based on  
14 the other one a little bit probably too late for  
15 people to have replied back. So, I'd prefer if we  
16 waited a little bit on 1.d.

17 **DR. GEORGE COBB:** Okay, 1.d.

18 **DR. DAVID REIF:** Were you asking --

19 **DR. GEORGE COBB:** No. I was asking  
20 if you were ready for 1.d.

21 What about Dr. Baker? Are you ready  
22 with 1.e?

1           **DR. MARISSA BAKER:** Yeah. We could  
2 do 1.e.i and .ii.

3           **DR. GEORGE COBB:** Okay. Let's try  
4 to get through 1.e.i for sure and try 1.e.ii, and  
5 then we'll take a break, and then we'll come back  
6 to 1.d. Yeah.

7           **DR. MARISSA BAKER:** They seem short  
8 and straightforward, so we might be good.

9           **DR. GEORGE COBB:** Okay.

10          **DR. DAVID REIF:** Yeah. I think it's  
11 doable. I was struggling to separate out 1.d from  
12 -- I mean, it was the made-up one from the other  
13 ones --

14          **DR. GEORGE COBB:** Yeah.

15          **DR. DAVID REIF:** -- so yeah. It  
16 might --

17          **DR. GEORGE COBB:** Okay. If we --

18          **DR. MARISSA BAKER:** Just give me a  
19 second to pull it up.

20          **DR. GEORGE COBB:** Okay. If that  
21 does not work -- well, we're going to have to get  
22 it read into -- if that doesn't work, we can,

1 perhaps, move on even further and come back to  
2 you, David.

3 Okay. Dr. Luz, do you have  
4 clarifying questions for us, or would you like to  
5 read in 1.e.i?

6 **DR. ANTHONY LUZ:** Dr. Cobb, this is  
7 Tony with EPA. There's no clarifying questions.  
8 I think we can move on to 1.e.i.

9 Okay. Then I'll read in Charge  
10 Question 1.e.i. Again, this has two parts.

11

12 **CHARGE QUESTION 1.e**

13

14 As described in Section 3 of the  
15 Draft Environmental Release and Occupational  
16 Exposure Assessment for DIDP, production volumes  
17 for Manufacturing and Import/Repackaging OES were  
18 determined using Chemical Data Repository, or CDR,  
19 information. The production volumes for the other  
20 OES came from CDR and/or percent production volume  
21 percentages -- so that's percentage of  
22 manufactured DIDP used for a particular OES --



1 reported in the European Union, or EU, Risk  
2 Assessment on DIDP since the use rate of DIDP is  
3 similar in the USA and EU.

4 EPA anticipates that the exposure  
5 methodologies demonstrated in the Draft Risk  
6 Evaluation for DIDP will be applicable to DINP  
7 exposure scenarios.

8  
9 **CHARGE QUESTION 1.e.i**

10  
11 Part 1 of this charge question:  
12 for environmental release assessments, please  
13 comment on the strengths and uncertainties of  
14 using EU production volume percentage to estimate  
15 production volumes for DIDP.

16 Thank you.

17 **DR. GEORGE COBB:** Thank you for  
18 getting that into the record.

19 Dr. Baker.

20 **DR. MARISSA BAKER:** Yes. This is  
21 Marissa Baker.

1 Overall, respondents to this charge  
2 question felt comfortable with the proposed  
3 estimated production volume and percent, which was  
4 cited in the Draft Environmental Release and  
5 Occupational Exposure Assessment.

6 A respondent raised the point that  
7 U.S. law prohibits sharing information within and  
8 between industry groups about production volumes  
9 or market shares, but that information is provided  
10 to the American Chemistry Council, the ACC, panel  
11 coordinators so that they can determine company  
12 dues to each panel. The European Union has no  
13 such restrictions, so for this reason, obtaining  
14 production volumes from the EU are likely to be  
15 accurate.

16 Since the ACC, comprised of the  
17 manufacturers of DIDP, indicate that the use rate  
18 of DIDP in the EU is similar to the use rate in  
19 the U.S. -- and I have a reference -- the  
20 respondents felt it appropriate to use the 2003  
21 DIDP risk assessment published by the EU to  
22 estimate production volume for the various

1 sectors. The EU and U.S. market are also likely  
2 to be comparable.

3 A respondent posited that the only  
4 other option would be to obtain data on global  
5 production, but those will not reflect the U.S.  
6 market accurately.

7 A respondent also found it  
8 appropriate to split the production of non-polymer  
9 uses equally between paints and coatings,  
10 adhesives and sealants and inks since the industry  
11 has not given a more specific breakdown. However,  
12 the reviewer recommended having these estimated  
13 production volume breakdowns reviewed by industry  
14 to ensure that the potential releases are  
15 estimated correctly.

16 One respondent noted that production  
17 volumes for several sectors were reported as a  
18 range, some of which were extremely large. A  
19 question was raised as to whether it is possible  
20 to estimate a narrower range for these sectors or  
21 provide context as to why the ranges are so large.

1           The examples will be given in detail  
2           to the EPA, but many of them were ranging from the  
3           hundred thousands to over a million kilogram per  
4           year ranges.

5           Exxon indicated a half-year  
6           production schedule. Therefore, respondent  
7           recommended that an attempt should be made to  
8           obtain production schedules for other  
9           manufacturers and formulators. EPA states that  
10          import and repackaging facilities operate 24 hours  
11          a day, 7 days a week, multiple shifts. However,  
12          EPA capped the total number of operating days so  
13          as not to exceed estimated site throughputs. That  
14          came directly from the document.

15          However, EPA did not identify  
16          chemical or site-specific information on site  
17          throughputs. Site throughput information was  
18          estimated through Monte Carlo modeling with a 50th  
19          to 95th percentile range, a 46 to 55 kilograms per  
20          site day; again, pulled from the document.

21          One respondent indicated estimating  
22          on an estimation creates more uncertainty, which

1 may also create a higher estimated occupational  
2 exposure potential. But another respondent says  
3 that they appreciate EPA modeling on the higher  
4 percentiles to represent the worst-case  
5 occupational exposure, which would be more  
6 protective of workers.

7 That concludes our remarks.

8 **DR. GEORGE COBB:** Excellent. Thank  
9 you for that summary.

10 Now we can turn to our associate  
11 discussant, Dr. David.

12 **DR. RAYMOND DAVID:** I don't know --  
13 actually, I do have something to add, but I will  
14 wait till after the other discussants because it's  
15 not -- it doesn't address the question  
16 specifically.

17 **DR. GEORGE COBB:** Okay.

18 **DR. RAYMOND DAVID:** Although, I  
19 would like to ask the EPA: What information are  
20 you getting in the CDR if you're not getting this  
21 kind of production information? I mean, I thought  
22 that was the whole idea.

1                   **DR. GEORGE COBB:** Perhaps we can  
2 wait to answer that question after we get --

3                   **DR. RAYMOND DAVID:** That's fine.

4                   **DR. GEORGE COBB:** -- through. But  
5 that's a really good question.

6                   But let's see what Dr. Reif has to  
7 say if he's not busy compiling.

8                   **DR. DAVID REIF:** No. I don't have  
9 any additional comments on this one. Thank you.

10                  **DR. GEORGE COBB:** Then, Dr. Graham.

11                  **DR. CYNTHIA GRAHAM:** All of my  
12 comments were included. Thank you.

13                  **DR. GEORGE COBB:** Excellent. Thank  
14 you very much.

15                  Okay. Dr. David, do you have the  
16 other comment that you had?

17                  **DR. RAYMOND DAVID:** Well, yes,  
18 because I noticed in the charge questions there  
19 were no charge questions that asked about  
20 occupational exposure. Yet, the risk assessment  
21 for DIDP identifies an occupational exposure

1 scenario in which the exposure level exceeded the  
2 margin of exposure that they set.

3 I was a little disappointed in that.  
4 It prompted me to go back to the document on  
5 occupational exposure. There were a couple of  
6 things that struck me about it.

7 For example, in Section 3, there's a  
8 repeated referral by the EPA to exposure to vapors  
9 of DIDP during transferring of drums or other  
10 operations, and I found that an odd statement to  
11 make given that DIDP has a very low vapor pressure  
12 unless someone is standing next to the extruder  
13 where the hot plastisol or PVC is coming out. It  
14 doesn't seem like there would be a lot of  
15 opportunity for exposure to vapors as such.

16 The other thing that struck me is  
17 that the Agency indicated that they didn't have  
18 any idea of engineering controls or use of PPE.  
19 Again, that struck me as odd because safety data  
20 sheets certainly would have recommendations for  
21 PPE. We heard from one of the presenters  
22 yesterday about engineering control. Why is it

1 that the Agency isn't getting that information?  
2 Are they not asking the right questions, or is it  
3 simply not available?

4 The last point I want to make was  
5 that the exposure scenario where there was concern  
6 was in a paint application where DIDP is used as a  
7 solvent, basically, in paint. It was generating  
8 aerosols for -- I think it was actually auto  
9 painting or repainting. The concern was that  
10 there would be inhalation exposure primarily, not  
11 only to the primary worker but secondary workers  
12 who might be present during the operation. That  
13 exposure scenario just seemed unrealistic to me.

14 I'm sure there are paint operations  
15 where they don't enclose the car as it's being  
16 painted. I've not seen too many but probably so.  
17 But for an operator to aerosolize a liquid and not  
18 have on at least a dust mask, I find that very  
19 odd.

20 These are questions that I think it  
21 would be a good idea for the Agency to go back and  
22 revisit. I will -- I've asked Dr. Baker to



1 include my written comments into her write-up so  
2 that the Agency can have them down on paper.

3 Thank you.

4 **DR. GEORGE COBB:** Thank you for  
5 those comments. I will say that a lot of the  
6 things that you are commenting on and questions  
7 that you're raising are questions that the  
8 Committee has wrestled with for a while with the  
9 Agency, especially availability of data and the  
10 willingness of industries to provide those data or  
11 to proactively produce those data so that we can  
12 make better decisions.

13 The only other thing I'll say is  
14 that this Committee's been pretty clear with the  
15 EPA that PPE is a risk mitigation consideration --  
16 risk management situation -- rather than a risk  
17 assessment situation, and so that's probably why  
18 there's that apparent disconnect with the way that  
19 you are thinking.

20 **DR. RAYMOND DAVID:** I understand  
21 that the Agency is -- I believe it is their

1 mandate to determine the risk in lieu of any PPE  
2 that might be used, and that's fine.

3 **DR. GEORGE COBB:** Yeah. I think  
4 that if there were data demonstrating how that  
5 proceeded, perhaps they could consider that. They  
6 may differ, but I do not think those data are  
7 available. But we'll let the Agency respond to  
8 those questions instead of me.

9 **DR. RAYMOND DAVID:** Perhaps that is  
10 part of their -- when it comes to identification  
11 of an unacceptable risk, perhaps that's one of the  
12 opportunities to mitigate the risk.

13 **DR. GEORGE COBB:** Exactly. Exactly.  
14 Are there further clarifying  
15 comments -- not just clarifying, but comments from  
16 the Committee about the discussion we just had or  
17 anything else?

18 I see -- are you there?

19 **DR. UDAYAN APTE:** Yeah. Okay. You  
20 cut out for a second. You're asking me, right?

21 **DR. GEORGE COBB:** Yes. Please  
22 proceed.

1                   **DR. UDAYAN APTE:** Okay. This is  
2 Udayan Apte.

3                   I just wanted to comment on the  
4 discussion you had where it is pretty clear that  
5 there is a data gap, right? We are -- as a  
6 committee, when we make recommendations, we are  
7 kind of refraining from asking the Agency to do  
8 studies because that's not what this whole thing  
9 is about, or the Office of Pesticide is concerned  
10 that. But putting those data gaps that are so  
11 concerning in the report is I think probably very  
12 important. I'm hoping somebody reads them, and it  
13 is conveyed to the right people who are charged  
14 with obtaining new data. It may not be this  
15 office, but somebody else might get that  
16 information down the road once the reports are  
17 done.

18                   I think we should highlight those as  
19 a committee is my recommendation.

20                   **DR. GEORGE COBB:** Yes. Thank you  
21 for that.

22                   Are there other comments?

1 I will say that in a couple of -- at  
2 least one instance -- I shouldn't say a couple.  
3 In one instance where the Committee was making  
4 recommendations for different kinds of data  
5 availability, that one of the industrial groups  
6 did step up and provide some of those data that  
7 were very helpful in the risk assessment process.  
8 I do not remember the chemical. I'm sorry. But I  
9 mean, that can very easily be the solution to use  
10 data that may actually exist or that may easily be  
11 attainable.

12 Okay. Let's go back to the Agency,  
13 to EPA, and see if there are clarifying questions,  
14 and then we can proceed to the next question,  
15 which Dr. Baker, by the way, is the lead  
16 discussant for it.

17 **UNIDENTIFIED MALE:** (Audio distorted  
18 Inaudible) from EPA. One of the questions was  
19 whether we used production volume data from CDR.  
20 We used all the available data that we had from  
21 CDR to calculate production volumes for four  
22 years, except the (inaudible) teams and the where

1 we needed extra information to sort of fill in the  
2 blanks or fill in the gaps. We also used  
3 information from other sources that we found  
4 through systematic review.

5 **DR. GEORGE COBB:** Thank you.

6 Will someone from EPA read in the  
7 charge question? I believe it's 1.e.ii.

8 **DR. ANTHONY LUZ:** Hi, Dr. Cobb, this  
9 is Tony Luz here with EPA.

10 I can read in the second part of  
11 Charge Question 1.e.

12

13 **CHARGE QUESTION 1.e.ii**

14

15 For the remaining phthalates (i.e.,  
16 DEHP, DBP, DIBP, BBP, DCHP, DINP), EPA anticipates  
17 potentially needing to refine the environmental  
18 release assessments.

19 Please suggest additional data  
20 sources, models, and related methods for  
21 determining production volumes that are reasonably  
22 available and can be conducted in a timely fashion

1 that allows EPA to meet statutory timelines for  
2 TSCA risk evaluations.

3 Thank you.

4 **DR. GEORGE COBB:** Thank you.

5 Dr. Baker, would you please --

6 **DR. MARISSA BAKER:** Yes.

7 **DR. GEORGE COBB:** -- provide the  
8 response.

9 **DR. MARISSA BAKER:** Yes. This is  
10 Marissa Baker again.

11 Respondents had a few ideas to pass  
12 along to EPA. Respondents recommended EPA explore  
13 the feasibility of compiling information from  
14 purchase records or manufacturing that can serve  
15 as inputs in conjunction with TRI data, which is  
16 already being used.

17 In particular, the modeling to  
18 predict indirect surface water deposition and land  
19 deposition can be strengthened, particularly in  
20 regions of highest production. A respondent  
21 suggested that waste stream identification and  
22 monitoring is critical and using estimates from

1 sewage outfalls. But the Committee did not have  
2 specific recommendations as where to access these  
3 data.

4 Another respondent indicated it is  
5 preferable to rely on industry data or primary  
6 exposure data collected by a qualified hygiene  
7 professional as opposed to relying on modeling  
8 data. This perspective was informed by comparing  
9 the modeling data to the actual monitoring data  
10 from Exxon that was in the draft document.

11 The use of industry-supplied data  
12 can be confirmed and used to determine production  
13 volumes. Therefore, the use of the CDR appears to  
14 be appropriate.

15 But a respondent also indicated that  
16 using modeling data is an appropriate way to  
17 estimate higher-end exposures, which can result in  
18 more protective exposure estimates.

19 That concludes my response.

20 **DR. GEORGE COBB:** Excellent. You  
21 were correct that we might be able to get through  
22 these parts quickly.

1 Let's go to the remainder of the  
2 group.

3 Dr. David?

4 **DR. RAYMOND DAVID:** Just an  
5 additional thought to make sure that EPA does not  
6 look at EU production levels for these particular  
7 phthalates because several of them are restricted  
8 in the EU, and so the production levels would not  
9 reflect U.S. production.

10 **DR. GEORGE COBB:** That's a very good  
11 point. Thank you.

12 Dr. Reif?

13 **DR. DAVID REIF:** No comments from  
14 this.

15 **DR. GEORGE COBB:** All right. Thank  
16 you.

17 I think Dr. Heiger-Bernays had to  
18 step away unless she's gotten back.

19 **DR. WENDY HEIGER-BERNAYS:** Here.  
20 I'm here.

21 **DR. GEORGE COBB:** Oh, you're here.  
22 Aha.



1 DR. WENDY HEIGER-BERNAYS: I'm here.

2 Yeah.

3 Thank you, Dr. Baker. That was  
4 everything.

5 Dr. David made the comment I was  
6 going to make about careful about using production  
7 from the EU since many are restricted.

8 Thank you.

9 DR. GEORGE COBB: All right. Thank  
10 you.

11 To the Committee, are there comments  
12 from the Committee related to this charge  
13 question?

14 If not, Dr. Baker and team, thank  
15 you for that good summary of these last two  
16 questions.

17 Let's turn it over to EPA for a  
18 couple of clarifying questions.

19 DR. ANTHONY LUZ: Thank you, Dr.  
20 Cobb. This Tony of EPA. Nothing from us.

21 DR. GEORGE COBB: All right. Well,  
22 at this point, I think it's best if we maybe take

1 a ten-minute break, and then we can come back to  
2 perhaps Charge Question 1.d if Dr. Reif is ready.  
3 If not, we may move on to -- would you think  
4 you're ready, Dr. Reif?

5 **DR. DAVID REIF:** Yeah. I hadn't  
6 heard affirmation from all of the discussants on  
7 the latest draft, but we can present it and circle  
8 back.

9 **DR. GEORGE COBB:** Okay. Let's go  
10 with a ten-minute break, and then we'll pick up  
11 with 1.d and then maybe move into 2.a as well.

12 All right. We'll see you folks back  
13 in about ten minutes.

14

15

[BREAK]

16

17

**DR. GEORGE COBB:** All right.

18

Welcome back after the break. At this point, I --  
19 welcome back after the break.

20

21

At this point, I think we can go to  
EPA and get our next charge question read in,  
22 which we're going back up to 1.d, please.

1                   **DR. ANTHONY LUZ:** Thanks, Dr. Cobb.

2                   Tony Luz here with EPA. I'll read  
3 in Charge Question 1D.

4

5                   **CHARGE QUESTION 1.d**

6

7                   In light of comments on charge  
8 question 1.c.i, please comment on the weight of  
9 scientific evidence and its conclusions for the  
10 environmental exposure assessment (Subsections 6  
11 and 7 of the Draft Environmental Exposure  
12 Assessment).

13                   Please include in these comments a  
14 discussion of the clarity and transparency of the  
15 data used, hazard values, and EPA's interpretation  
16 of the results.

17                   Thank you.

18                   **DR. GEORGE COBB:** Thank you.

19                   Dr. Reif is our lead discussant.

20                   **DR. DAVID REIF:** Yep. Just because  
21 we're backing up, and also because this is an  
22 emergent question that came out of discussions,

1 remember this question here is based on comments  
2 to l.c.i. I'm trying to collect information that  
3 hasn't already been presented elsewhere.

4 In general, in response to the  
5 question that the conclusion that risks are not  
6 underestimated is supported by the weight of  
7 evidence presented. While the use of data from  
8 articles rated at least medium- or high-quality is  
9 appropriate, the lack of data available for  
10 several levels of trophic transfer warrants  
11 reconsideration of cells noted in Table 6-1 as  
12 moderate confidence. Further, the overall  
13 confidence level for the modeled concentrations as  
14 being representative of actual releases is  
15 characterized as slight in several places, whereas  
16 many of the component data sources are  
17 characterized as moderate.

18 For trophic transfer, the lack of  
19 metabolic transformation makes plain more of the  
20 discrepancy between modeled and observed  
21 concentrations than currently noted. This source  
22 of uncertainty could be addressed with emerging

1 methods for probabilistic estimation of  
2 metabolism.

3 Overall, the disconnect between the  
4 modeled calculations and measured concentrations  
5 should be discussed in greater detail, especially  
6 given the relatively few U.S. measurements  
7 available. Further, the TRV is key to  
8 conclusions, and to support clarity transparency,  
9 a more detailed justification for the selection of  
10 the TRV should be provided.

11 The assumptions for proportion of  
12 diet in the concentrations available to the  
13 predators seem reasonable and based when available  
14 on available data -- empirical data, rather. But  
15 the choice of sentinel representative species is  
16 appropriate associated with their feeding profiles  
17 and location.

18 Particular detail points particular  
19 lines or sections for models estimated  
20 concentrations for locations near hypothetical  
21 facilities releasing DIDP to surface water is  
22 appropriate due to short half-life in the

1 environment. However, the difference in models  
2 and observed concentrations -- this is on line 604  
3 to 605 -- is justified by sampling of aquatic  
4 species distant from DIBP release sites. This is  
5 reasonable, but perhaps too simple, given the  
6 complexities of half-life metabolized chemical  
7 forms and potential other adverse effects from  
8 mixtures and chemicals present in the sediment and  
9 other media.

10 Second, as pointed out in line 618  
11 to 620, the lack of metabolic transformation  
12 within prey items reduces the completeness of the  
13 biological relevance, and this would limit  
14 assessment of exposure and present an incomplete  
15 view of potential exposure effects.

16 Lastly, these are the comments  
17 related to Charge Question 1.c, the use of  
18 conservative screening values and approaches may  
19 result in overestimation of potential adverse  
20 effects related to exposure. However, this does  
21 not seem to be the case with all areas.

1                   **DR. GEORGE COBB:** All right. Thank  
2 you for that summary.

3                   Other discussants?

4                   Dr. -- wait a minute. I'm in the  
5 wrong charge question.

6                   Dr. Shuman-Goodier.

7                   **DR. MOLLY SHUMAN-GOODIER:** Thank  
8 you. No further comments.

9                   **DR. GEORGE COBB:** Dr. Ottinger?

10                  **DR. MARY OTTINGER:** No further  
11 comments. Thank you very much.

12                  **DR. GEORGE COBB:** Dr. Li?

13                  **DR. LI LI:** No further comments.

14                  **DR. GEORGE COBB:** Dr. Apte.

15                  **DR. UDAYAN APTE:** No further  
16 comments. Thank you.

17                  **DR. GEORGE COBB:** That's a good  
18 summary. So, maybe your concerns were not so much  
19 as you thought.

20                  **DR. DAVID REIF:** Yeah. A lot of  
21 this is related to other comments that have been

1 brought up and perhaps raised, so yeah. That's  
2 good.

3 **DR. GEORGE COBB:** Yeah. With the  
4 complexity of this evaluation, totally understand.  
5 Thank you for that really good summary.

6 Now, let's go to the -- let's go to  
7 the rest of the Committee. Are there comments  
8 from others on the Committee about Charge Question  
9 1.d?

10 All right. Well, let's go the --  
11 excuse me -- go to EPA to see if there are  
12 clarifying questions because I think this gets us  
13 to the end of Charge Question 1.

14 EPA, are there clarifying questions  
15 about this last charge question or any of the  
16 others?

17 **MR. CHRISTOPHER GREEN:** Well, this  
18 is Christopher Green with EPA speaking.

19 Thank you, Committee, and thank you,  
20 Dr. Reif, for that input.

21 I did have just a little bit more --  
22 pulling a little bit more from you for that last



1 point you made in terms of conservative  
2 assumptions, and I believe you had language where  
3 it was appropriate but maybe not in all cases.

4 I was wondering if just for our own  
5 notes to get ahead of things right now, can you be  
6 a little bit more specific or just draw a little  
7 bit more from that last point you made?

8 Thank you.

9 **DR. DAVID REIF:** Yeah. I think that  
10 the spirit of that comment was that choosing  
11 conservative over and over I would expect to  
12 result in overestimation of potential adverse  
13 effects. But that's not guaranteed. I think it  
14 would -- that's really a statistical comment based  
15 on the other -- based on the other considerations  
16 that, while that's the expectation, it's not  
17 necessarily a guarantee and just to make sure the  
18 language didn't make it sound like in all cases  
19 when one does this, the output is this.

20 **MR. CHRISTOPHER GREEN:** Okay. This  
21 is Christopher Green from EPA again.

1 Thank you for that. I think that  
2 was really helpful.

3 Again, thanks.

4 **DR. GEORGE COBB:** All right. If  
5 there are no questions from EPA, this is a little  
6 bit unusual. Usually, we do this at the end of  
7 the day, but I think we're at the end of a charge  
8 question right now, and it's towards the end of  
9 the day. I want to just circle back to the  
10 Committee to see, based on what we've heard in the  
11 totality of Charge Question 1, are there any  
12 additional comments that anyone wants to bring up  
13 before we proceed to Charge Question 2, which is  
14 ecological hazard?

15 Dr. Ottinger.

16 **DR. MARY OTTINGER:** Just want to  
17 emphasize as we go into ecological hazard, there's  
18 going to be many measures that are commonly held  
19 in the sense that they're applicable to both, and  
20 so the more we look at conserve mechanisms and how  
21 there may be adverse effects that are conserved,  
22 again, that that's a really important thing to

1 keep in mind as we move to the other charge  
2 questions.

3 **DR. GEORGE COBB:** Agreed.

4 Other comments? All right. Seeing  
5 none, we can wrap up Charge Question 1.

6 Thank you, Dr. Reif and your team,  
7 for finishing that up for us.

8 Then, let's move into the ecological  
9 hazard and have Dr. Luz or one of his colleagues  
10 read that Charge Question to us.

11 **DR. ANTHONY LUZ:** Thanks, Dr. Cobb.

12 This is Tony Luz here. I'll now be  
13 reading Charge Question 2.a.

14  
15 **2. ECOLOGICAL HAZARD**

16 **CHARGE QUESTION 2.a**

17  
18 As described in Section 4 of the  
19 Draft Environmental Hazard Assessment for DIDP,  
20 EPA had a limited empirical toxicity data  
21 available for terrestrial mammals and therefore  
22 relied on data from controlled laboratory animal

1 studies using human health animal models to derive  
2 a toxicity reference value, or TRV, to evaluate  
3 risk from chronic dietary exposure to DIDP.

4 Please comment on the strengths and  
5 uncertainties of the methodology and data used to  
6 derive a toxicity reference value, or TRV, for  
7 DIDP.

8 Thank you.

9 **DR. GEORGE COBB:** All right. Thank  
10 you for getting that charge question into the  
11 record for us.

12 Dr. Ottinger is our lead discussant  
13 for this question -- sub-question.

14 **DR. MARY OTTINGER:** First of all,  
15 I'd like to thank the discussants because you all  
16 have made very, very valuable and interactive  
17 contributions, so hopefully this reflects  
18 everything.

19 I'm going to start with general  
20 comments. Aquatic invertebrates, fish, algae  
21 considered for toxicity with non-observed with

1 sediment or poor water exposure, no toxicity --  
2 excuse me -- observed.

3 Second point: No hazard data were  
4 available for wildlife. Laboratory rat data were  
5 used to derive hazard values for terrestrial  
6 animals. The TRV of 28 milligrams per kilogram  
7 per body weight per day. DINP data from one  
8 earthworm hazard study is used for the read-across  
9 for DIDP. There are 13 studies used for toxicity  
10 quantitative assessment for fish with two studies  
11 using DIDP concentrations higher than solubility.  
12 EPA's choice not to use predictive toxicity data  
13 from ECOSAR is defensible given the description  
14 that the log KOW exceeds the model domain.

15 The TRV derivation process in  
16 general does not appear to include a means of  
17 accounting for uncertainty as would be used to  
18 drive a concentration of concern. This seems  
19 prudent for a screening-type analysis with limited  
20 experimental data. The assessors may want to  
21 consider quantifying inter and intra study  
22 variability and uncertainty in the assessment and

1 in applying an AF or adding their descriptions of  
2 uncertainties that are not accounted for.

3 The following two points might end  
4 up as recommendations and read as follows: It is  
5 unfortunate that no data were available or  
6 requested for terrestrial plants or avian. Given  
7 DIDP poses a potential hazard to mammals, could  
8 EPA consider read-across data as they did for  
9 earthworms or request testing to complete the rest  
10 of the terrestrial assessment?

11 The second point: Has EPA  
12 considered using a new approach methodologies data  
13 for phthalates that is publicly available from  
14 EPA's ToxCast program with added lines of evidence  
15 in the environmental risk assessment? Considering  
16 mechanistic effects in data from multiple levels  
17 of biological organization, this could be  
18 justified for the phthalates. Methods used to  
19 derive activity concentrations and cutoff from  
20 ToxCast data and exposure activity ratio and  
21 subsequent comparisons to the primary apical  
22 endpoints would provide additional line of

1 evidence that could be considered, for example,  
2 applications for the screening use in WOE and  
3 other references.

4 There are a number of strengths,  
5 including the approach that uses an experimental  
6 rat model. That provides good estimation of  
7 effects on DIDP in mammals and then by  
8 transmission to wildlife.

9 The strength is that the data come  
10 from medium quality studies in rats, and that  
11 there are similar values for NOAEL and LOAEL for  
12 reduced body weight that were generated from the  
13 two different studies.

14 A potential uncertainty is that  
15 wildlife mammalian populations might be less  
16 sensitive to exposure due to being more  
17 genetically diverse, but there are no data  
18 available for DIDP or DINP to suggest that this is  
19 the case.

20 EPA identified two reproduction  
21 studies, three growth studies, and two survival  
22 studies all in rat models containing relevant data

1 for DIDP hazard assessment in terrestrial mammals.  
2 The rat model is established, and there's reason  
3 to believe that it's adequate and responds to  
4 phthalates; similarly to other terrestrial  
5 mammals.

6 There are two different rodent  
7 species that were used, and so there is the  
8 question of whether these inbred rodent models  
9 provide more consistency in response, and so as  
10 such may not capture all the variability in  
11 wildlife.

12 Another point: EPA did not include  
13 data from other phthalates in this section  
14 consistent with EPA's determination. The DIDP  
15 does not follow the same toxicity mode of action  
16 or adverse outcome pathway as the lower molecular  
17 weight phthalates.

18 The EPA appropriately has charge  
19 questions focused on data from other phthalates  
20 that are deemed high priority in the other charge  
21 questions. The spectrum of effects was similar  
22 across the identified studies, which increases



1 confidence that these are reproducible toxic  
2 effects of DIDP.

3 A couple of weaknesses: There are  
4 relatively few studies on this chemical, and the  
5 reported NOAEL and LOAEL values diverge  
6 significantly, sometimes more than log 1.  
7 Although there is significant qualitative  
8 similarity, the sediment's potentially high  
9 quantitative variability in inter-individual  
10 response. Additional studies that are available,  
11 but not deemed high or medium, could be  
12 reevaluated for utility of the information. These  
13 data could be reevaluated for the consistency in  
14 the draft report.

15 Recommendations: Rodent models for  
16 human health evaluations being transferable to a  
17 wildlife TRV is rationalized with detail on why  
18 this is conservative, yet no adverse effects are  
19 predicted.

20 Please add information on potential  
21 adverse effects that would occur in wildlife and  
22 their utility for applications in assessing risk.

1 Additional data are available for  
2 phthalate exposure effects, which should be  
3 included in assessment factors and formulation of  
4 the TRV, and we will provide some examples.

5 EPA's choice to use laboratory  
6 rodents from human health studies to derive  
7 toxicity reference value is well justified and  
8 logical. However, the derivation of the TRV does  
9 not include a means for accounting for the added  
10 uncertainty of using only laboratory rodents as  
11 human health models.

12 The overall conclusion the DIDP has  
13 low hazard potential for aquatic species does not  
14 agree with the data reviewed. The predominant  
15 endpoint in Table 3-1 is mortality with little  
16 information on sublethal effects. Acute and  
17 chronic studies on fish appear to be highly flawed  
18 with fish hazard data based on an acute study with  
19 high-control mortality and a chronic study with  
20 no-dose response and limited to development on  
21 reproductive measurement endpoints.

1                   Please explain why these studies are  
2 highlighted and deemed acceptable. Similarly, the  
3 aquatic invertebrate, benthic invertebrate,  
4 amphibian algae and algae hazard studies were not  
5 rated as high- or medium-confidence. However,  
6 would some of the lower rated studies be useful in  
7 estimated hazard with proper weighting of results?

8                   Another recommendation: The TRV  
9 estimated for terrestrial mammals must consider  
10 potential sensitivity or the rats' strain and  
11 their use in toxicity testing, and the range of  
12 endpoints must include nonlethal endocrine  
13 disruption as a short- and long-term potential  
14 effects as in Waterman et al. 1999 or Hushka 2001  
15 or Exxon Biomedical 2000 and 1998 studies.  
16 However, basing the TRV on these rodent studies  
17 still must consider transferability and relevance  
18 to wildlife at the concentrations that will be  
19 potentially encountered with the following  
20 questions: Are there measurements of  
21 environmental concentrations, and which species  
22 are likely to be most exposed?

1                   Lines 269 to 274, these studies also  
2 noted significant decreased body weight in  
3 survival in both males and females. Is this a  
4 palatability or treatment issue? Females also  
5 experience reduced number of offspring. Is this  
6 effect due to reduced food consumption or  
7 potentially associated with palatability?  
8 Finally, are there sufficient controls to evaluate  
9 accurate NOAEL and LOAEL?

10                   A couple more recommendations:  
11 Additional terrestrial wildlife including birds  
12 must be considered in the wildlife hazard and risk  
13 evaluations, and we'll provide some literature  
14 pertinent to that.

15                   That would then mean that there  
16 should be relevant field measures for wildlife and  
17 how the conclusion from weight of evidence  
18 determines little or no hazards to wild organisms  
19 or if it does.

20                   There are insufficient robust data  
21 sets despite high confidence in some of the  
22 aquatic assessment as shown in Table 5-1.

1                   Although the approach used in  
2                   Section 6 for DIDP is reasonable, the underlying  
3                   data are insufficient to draw these conclusions.  
4                   EPA needs to include information regarding the  
5                   cutoff date for the literature identification.  
6                   This information was included in the DIDP human  
7                   health hazard assessment, as well as a description  
8                   of sources used to identify the environmental  
9                   health studies.

10                   The final recommendation is  
11                   Environmental Hazard Assessment Section 3.1:  
12                   Provide clarification detail for the following  
13                   issue. There are no exposure concentrations to  
14                   which TRVs are compared. Reviewing the supporting  
15                   documents provides confusion. The aquatic fate  
16                   section of the draft environmental fate and  
17                   transport assessment contains less than 20 lines  
18                   of text with no tables or figures depicting  
19                   available or modeled data. The fate assessment  
20                   acknowledges that measured concentrations in water  
21                   are above solubility limits and are likely  
22                   associated with droplets in the water but provides

1 no further information. Aqueous concentrations  
2 are only found in the environmental media and  
3 general population exposures document.

4 There are specific comments and  
5 minor comments that I won't read through but will  
6 be provided in writing to the EPA.

7 Thank you.

8 **DR. GEORGE COBB:** Excellent. Thank  
9 you for that review, Dr. Ottinger.

10 Now, let's see what the associate  
11 discussants that to say.

12 Dr. Shuman-Goodier.

13 **DR. MOLLY SHUMAN-GOODIER:** Hello.  
14 Thanks.

15 Thank you to Dr. Ottinger. I have  
16 no further comments. Mine were captured.

17 **DR. GEORGE COBB:** All right.

18 Dr. Spade.

19 **DR. DANIEL SPADE:** Yeah. I have no  
20 further comments.

21 Thank you for the summary.

22 **DR. GEORGE COBB:** Ms. Jenkins?

1                   **MS. ALLISON JENKINS:** I have no  
2 further comments. Thank you.

3                   **DR. GEORGE COBB:** Dr. Howdeshell.

4                   **DR. KEMBRA HOWDESHELL:** Thank you  
5 for that summary, Dr. Ottinger.

6                   I would just add that I'm not a big  
7 fan of weighting studies, but I certainly would  
8 encourage there to be some review of the complete  
9 body of the literature to see if those studies  
10 that were less than medium- or high-quality had  
11 the same consistency of effects.

12                   Thank you.

13                   **DR. GEORGE COBB:** Right.

14                   Now to the remainder of the  
15 Committee, are there comments from the remainder  
16 of the Committee related to Charge Question 2.a?

17                   All right. I would like to mention  
18 -- this is George Cobb -- that to Dr. Howdeshell's  
19 comment, I agree that when we have robust data,  
20 you probably can get really high-quality studies  
21 and choose from them. It turns out that a lot of  
22 times in our ecological assessments, at least in

1 my reads over the years, the majority of the data  
2 were generated decades ago, and the current levels  
3 of analytical sophistication for instruments was  
4 not there. Detection limits were much higher.  
5 Reporting requirements may or may not have been as  
6 rigorous as they are now. Some of those things  
7 factor in, and then you're left with virtually no  
8 studies.

9 But I first approximation agreed  
10 with your assessment, Dr. Howdeshell. We're  
11 perhaps in a conundrum with our ecological  
12 assessments.

13 **DR. KEMBRA HOWDESHELL:** That  
14 certainly systematic review practitioners of  
15 environmental health literature recognize that  
16 reporting methods have changed, you know. A lot  
17 of the older literature often gets dinged for not  
18 having the details that the current studies do.

19 But we do find at the Division of  
20 Translational Talks that it's helpful to look to  
21 see what the body of the literature is showing. I  
22 would encourage the EPA to consider that approach.



1 Thank you.

2 **DR. GEORGE COBB:** I totally agree  
3 with that -- totally agree.

4 Okay. I think we've come to the end  
5 of Charge Question 2.a.

6 We can turn it -- thank the  
7 discussants, turn it back over to EPA for  
8 clarifying questions, and then the next charge  
9 question once we get through the clarifying  
10 questions.

11 **DR. ANTHONY LUZ:** Thanks, Dr. Cobb.

12 This is Tony Luz with EPA.

13 We don't have any clarifying  
14 question at this time.

15 Thank you.

16 **DR. GEORGE COBB:** Then I think we  
17 can move to 2.b.

18 **DR. ANTHONY LUZ:** Okay.

19 Moving on to Charge Question 2.b for  
20 DIDP.

21

**CHARGE QUESTION 2.b**

1  
2  
3 **DR. ANTHONY LUZ:** Fate and transport  
4 modeling analyses indicate that when DIDP is  
5 released to the environment, it is expected to  
6 partition primarily to soils and sediments, and  
7 therefore, these media are of high priority for  
8 environmental exposure analyses. As described in  
9 Section 4 of the Draft Environmental Hazard  
10 Assessment for DIDP, no hazard data were  
11 identified for DIDP for soil invertebrates. DINP  
12 was selected as an analog for read-across of soil  
13 invertebrate hazard data as described in Appendix  
14 A of the Draft Environmental Hazard Assessment for  
15 DIDP.

16 Please comment on the  
17 appropriateness of the methods used to identify  
18 DINP as an analog for DIDP.

19 Thank you.

20 **DR. GEORGE COBB:** All right. Our  
21 lead discussant for this is Dr. Reif.

22 Dr. Reif?

1                   **DR. ALAA KAMEL:** I think he  
2 mentioned he will have a meeting between certain  
3 hours. Maybe this is the time for his meeting. I  
4 can check what time this is.

5                   **DR. GEORGE COBB:** I was not aware  
6 that he had left.

7                   **DR. ALAA KAMEL:** He sent an email.  
8 Okay. I'll check.

9                   **DR. GEORGE COBB:** Okay. Well, if  
10 Dr. Reif has departed, we can't do that particular  
11 charge question. I apologize to the EPA. I was  
12 unaware that he had already left. I thought we  
13 were going to --

14                   **DR. ALAA KAMEL:** Well, he had said  
15 he would assign to one of the discussants.

16                   **DR. GEORGE COBB:** Okay.  
17 So, discussants, was that done?

18                   Okay. I apologize to everybody on  
19 the call. We're going to have to -- Dr. Luz,  
20 we're going to have to come back to 2.b.

21                   **DR. ALAA KAMEL:** He's gone from 3:30  
22 to 4:30 --

1 DR. GEORGE COBB: Yeah.

2 DR. ALAA KAMEL: -- afternoon,  
3 Eastern.

4 DR. GEORGE COBB: Okay. We're going  
5 to have to skip 2.b and come back to it.

6 Dr. Howdeshell, did you have a  
7 further comment or is your hand --

8 DR. KEMBRA HOWDESHELL: Yeah.  
9 Kembra Howdeshell. This was just a request. I  
10 don't think that David has had a chance to  
11 circulate the summary among the discussants yet,  
12 and I know that the next two charge questions that  
13 I'm also involved in, we haven't had an  
14 opportunity to see the compilation of comments  
15 yet, so I wondered if this would be a good point  
16 to perhaps pause the meeting so that the summaries  
17 could be constructed so that we would have a more  
18 cohesive response for these charge questions.

19 DR. GEORGE COBB: We can certainly  
20 consider that.

21 I want to ask Dr. Ottinger and Dr.  
22 Wolf that you're the folks for 3.a and 3.b, which

1 were not slated until tomorrow afternoon. What  
2 are your thoughts on proceeding?

3 **DR. CYNTHIA GRAHAM:** We're ready.

4 **DR. MARY OTTINGER:** I'm just  
5 checking 3.a. I think I have everyone's initial  
6 input. I could do it with the understanding that  
7 there may be edits that would appear in the  
8 written version later.

9 **DR. GEORGE COBB:** Okay.

10 Dr. Wolf, I saw that you and Dr.  
11 Apte were emailing back and forth.

12 **DR. DOUG WOLF:** Yeah. We're ready.

13 **DR. UDAYAN APTE:** Yeah. We're  
14 ready.

15 **DR. GEORGE COBB:** What if we do  
16 this, as crazy as this sounds. What if we do 3.b  
17 first, then go to 3.a, and then maybe we even save  
18 2 -- what is it -- 2.b for tomorrow?

19 **DR. ALAA KAMEL:** I think that since  
20 everyone is there, it may work.

21 **DR. GEORGE COBB:** Dr. Howdeshell,  
22 I'm not trying to discount your comment. Feel

1 free to make your comments, especially if they are  
2 divergent from anything that's said here. I want  
3 to make sure we capture all of that.

4 Mary Ann, is that okay with you if  
5 we do 3.b then 3.a?

6 **DR. MARY OTTINGER:** I'm waiting for  
7 some comments from Dr. Howdeshell. I don't know  
8 if she would prefer waiting.

9 **DR. KEMBRA HOWDESHELL:** I -- okay.  
10 I'll double-check. I tried to send those over the  
11 weekend, but I'll get those to you now.

12 **DR. GEORGE COBB:** All right.

13 **DR. MARY OTTINGER:** I may already --

14 **DR. GEORGE COBB:** If not, you can  
15 just --

16 **DR. MARY OTTINGER:** I may have  
17 already --

18 **DR. GEORGE COBB:** -- read yours in,  
19 Dr. Howdeshell. You don't have to --

20 **DR. KEMBRA HOWDESHELL:** Okay. Okay.

21 **DR. GEORGE COBB:** You don't  
22 necessarily have to send them.

1           **DR. MARY OTTINGER:** I mean, I may  
2 have already incorporated them. I didn't note on  
3 the compiled version who I got what from. I was  
4 just checking, and I did receive your input, I  
5 think.

6           **DR. GEORGE COBB:** Let's proceed to -  
7 -

8           **DR. MARY OTTINGER:** I did.

9           **DR. GEORGE COBB:** -- Charge Question  
10 3.b, and then, Mary Ann, you and -- excuse me --  
11 Dr. Ottinger, and you and Dr. Howdeshell can align  
12 those --

13           **DR. MARY OTTINGER:** No. That's  
14 actually just fine. I did incorporate your --  
15 well, you sent them to me on Saturday. I did  
16 incorporate them into the version that I have  
17 going now.

18           **DR. GEORGE COBB:** Okay.

19           Let's --

20           **DR. MARY OTTINGER:** Not a problem.

21           **DR. DOUG WOLF:** Do you want to do A

22           --

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

**DR. GEORGE COBB:** Let's --

**DR. DOUG WOLF:** -- A then B?

**DR. GEORGE COBB:** I think let's do B first, give Mary Ann a little bit of time to compile. I know that you guys -- I think you guys are ready.

**DR. DOUG WOLF:** Yeah. I --

**DR. GEORGE COBB:** Then we'll do B, then A.

**DR. DOUG WOLF:** Okay.

**DR. MARY OTTINGER:** That's good.

**DR. GEORGE COBB:** EPA, I'm sorry, but, Dr. Luz, you're going to have to read that in -- Luz, you're going to have to read that in again.

**DR. DOUG WOLF:** 3.b.

**DR. ANTHONY LUZ:** Great. Thanks, Dr. Cobb.

This is Tony Luz here with EPA reading in DIDP Charge Question 3.b.



**3. HUMAN HEALTH HAZARD****CHARGE QUESTION 3.b**

**DR. ANTHONY LUZ:** As described in Section 5.3 of the Draft Human Health Hazard Assessment for DIDP, EPA has preliminarily concluded that there is Suggestive Evidence of Carcinogenic Potential of DIDP in rodents. EPA's preliminary conclusion is based on evidence of mononuclear cell leukemia, or MnCl, in male and female F344 rats and hepatocellular adenomas in male CB6F1-rasH2 transgenic mice. EPA has further preliminarily concluded that MnCl observed in F344 rats and hepatocellular adenomas observed only in male CB6F1-rasH2 transgenic mice are not appropriate for conducting dose-response assessment for human health risk assessments.

Please comment on the strengths and uncertainties of EPA's preliminary cancer classification and rationale for not carrying forward rodent cancers into dose response assessment.

1 Thank you.

2 **DR. DOUG WOLF:** Okay.

3 **DR. GEORGE COBB:** Okay. Thank you  
4 being patient on that.

5 Dr. Wolf, I know that you and I were  
6 kind of maybe chuckling about we were just going  
7 to get to your question, but we weren't quite  
8 going to make it, but we actually did make it  
9 today, so --

10 **DR. DOUG WOLF:** We did make it.  
11 Yeah.

12 **DR. GEORGE COBB:** So --

13 **DR. DOUG WOLF:** Since I can't be  
14 here tomorrow and the next day.

15 **DR. ALAA KAMEL:** Yeah.

16 **DR. DOUG WOLF:** You got to put up  
17 with -- you going to put up with me droning on  
18 instead of Dr. Apte.

19 Some general comments, and these  
20 relate to organ weight changes that are prominent  
21 with peroxisome proliferators.

1 Peroxisomes are found in all  
2 eukaryotic cells. They contain oxidative enzymes  
3 such as catalase and urate oxidase. At such high  
4 concentrations that in some cells the peroxisomes  
5 stand out in electron micrographs because of the  
6 presence of a crystalloid core.

7 Thus, with any compound that is a  
8 peroxisome proliferator activated receptor alpha  
9 agonist, peroxisomes can increase in any cell or  
10 tissue resulting in increased oxidative stress as  
11 a byproduct and possibly organ weight with enough  
12 expansion of peroxisome numbers. We provided some  
13 references to that.

14 Health Canada states, however, the  
15 relevance of hepatotoxic effects of phthalates  
16 observed in rodents is difficult to establish due  
17 to the species-specific differences in the  
18 peroxisome proliferation response. Rodents being  
19 significantly more sensitive than humans to PPAR-  
20 alpha and mediated induction of peroxisome  
21 proliferator. Again, there's a reference for  
22 that.

1           The carcinogenicity conclusions for  
2       DIDP: Health Canada has done extensive work to  
3       determine cancer risk of DIDP and concluded that  
4       the descriptors suggestive evidence for  
5       carcinogenic potential is appropriate for DIDP.  
6       The analysis performed supports this claim.  
7       Studies showed only two types of tumors, including  
8       mononuclear cell leukemia, which have high  
9       background incidence in the species studied, the  
10      F344 rats and hepatic adenomas.

11           The tumors were not observed in all  
12      groups and at all doses. The mode of action  
13      suggests PPAR-alpha activation taken together the  
14      evidence for carcinogenic risk is towards the  
15      lower end. The analysis is detailed, and the  
16      conclusions are supported by evidence. Based on  
17      the available information, the Agency's decisions  
18      do not conduct dose response assessment as  
19      justified.

20           With regard to mononuclear cell  
21      leukemia: DIDP exposure in a two-year rat study,  
22      in this case -- well, this reference is for that,

1 which we'll provide -- produced an increase in  
2 MCNL. The increase was seen in both males and  
3 females. This is likely a strain-specific effect  
4 given the historically high spontaneous rates of  
5 occurrence in F344 rats. Given this, there  
6 appears to be a consensus among the commenters  
7 that increases in MnCl rates of occurrence in  
8 Fischer rats is not a useful predictor of human  
9 carcinogenic potential. This view is supported by  
10 several references which we provided.

11 While a couple of these references  
12 are cited in Section 5.3 of the DIDP Draft Human  
13 Health Risk Assessment, no mention is made of a  
14 couple of the other references -- which we have  
15 provided -- including a paper on why the NTP has  
16 eliminated the F344 rat from its standard  
17 bioassay.

18 The Committee recommended the  
19 inclusion of the King-Herbert and Thayer citations  
20 -- which we've provided -- to the Section 5.3 of  
21 the document. This paper describes the 2005 NTP  
22 initial toxicology program workshop, the

1 objectives of which were to determine whether the  
2 models then used in the standard two-species, two-  
3 strain bioassay, the F344 rat and the B6c3F1  
4 mouse, remained appropriate to identify substances  
5 that may pose a carcinogenic hazard for humans.

6 Workshop participants advised the  
7 NTP to discontinue use of the F344 rat. Within  
8 the next year NTP did that, replacing the Fischer  
9 strain with the Harlan Sprague-Dawley strain.

10 Based upon this discussion, the observation of an  
11 increased incidence of MCNL in a chronic bioassay  
12 using the Fischer rats should not be considered a  
13 factor in the determination of the cancer  
14 classification for DIDP.

15 Mononuclear cell leukemia on Fischer  
16 rats, also called Fischer Rat Leukemia because it  
17 is so common, it was one of the reasons that the  
18 F344 rat was no longer the primary rat species by  
19 NTP, as we've stated. In 2006, they decided to  
20 switch the rats because of not only the background  
21 incidence of mononuclear cell but also the  
22 spontaneous high incidence of Leydig cell tumors

1 or interstitial cell tumors in the testicle and  
2 large granular lymphocyte leukemias.

3 The cause of these tumor,  
4 particularly in the large granular lymphocytic  
5 leukemia similar tumor in humans to the rat  
6 situation, is very different in that these are  
7 typically secondary to Epstein-Barr virus  
8 infections and not been found to be associated  
9 with drug or chemical exposure. Though the  
10 specific mode of action of MnCl in Fischer rats is  
11 not known, it's not associated with a viral  
12 infection.

13 There is a qualitative difference in  
14 how these leukemias in humans and rats are  
15 initiated. Therefore, despite some commonalities  
16 between the pathology of these tumors, MnCl is not  
17 a model for human leukemias. In addition, there's  
18 no evidence for a genotoxic mechanism of action.  
19 Rather, it's due to a yet unknown secondary  
20 mechanism. These data indicate there is not a  
21 concern for prediction of a side concordance or

1 non-concordant human relevant tumor type. Again,  
2 references supplied.

3 Most commenters agreed that given  
4 the material presented in a retrospective review  
5 of MnCl and Leydig cell tumors among other tumor  
6 responses in the rat species, they lack relevance  
7 in predicting human carcinogenicity.

8 With regard to the EPA cancer  
9 guidelines and use of historical control data, one  
10 commenter did agree that the lack of relevant  
11 laboratory historical control data and data  
12 pertaining to the time to onset of MnCl make it  
13 challenging to determine if the increase of MnCl  
14 in high doses, which was statistically  
15 significant, is trivially related and is a source  
16 of uncertainty. To determine whether a chemical  
17 does influence incidence of this tumor type, it's  
18 important to compare values to the appropriate  
19 historical control and use more stringent  
20 statistical criteria such as those outlined in  
21 Thomas et al. Again, references provided.



1           There were other recommendations on  
2 publications related to use of historical control  
3 data and more recent publications on the use of  
4 historical control data. A recent one in 2021 by  
5 Kluxen et al. in Regulatory Tox and Pharmacology  
6 and a review from both government, academic, and  
7 others, Keen et al. (phonetic), which best  
8 practices for the use of historical control data  
9 of proliferative rodent lesions, which was a  
10 product of a society toxicologic pathology working  
11 group. Those references are provided as well.

12           With regard to the rasH2 mouse  
13 model, it's important to note that the proposed  
14 use of the rasH2 mouse drug development.  
15 References are provided.

16           In a pharma-based interpretation,  
17 adenomas would not be considered a positive cancer  
18 call, whereas in the more precautionary approach  
19 for environmental risk assessment, an adenoma is  
20 considered a potential concern. Thus, the  
21 transgenic mouse models were not designed to  
22 address human cancer risk in an EPA setting, but

1 rather identify potential malignant responses  
2 quickly for an FDA setting.

3 Remember, these mouse models were  
4 designed to be susceptibility models, so they're  
5 more likely to result in a tumor response.  
6 They're no more sensitive than the standard mouse  
7 typically, and they're not sensitivity or dose.  
8 You don't see tumors at lower doses. You just see  
9 them earlier.

10 In Section 5.3, the suggestive  
11 evidence section, the DIDP document also describes  
12 the increase in hepatocellular adenomas in male  
13 CB6F1-rasH2 transgenic mice at the highest dose  
14 tested only, 1500 milligrams per kilogram per day  
15 -- we'd like to point out that's well above the  
16 typical limit dose of a thousand milligrams per  
17 kilogram per day -- but not in female transgenic  
18 mice or in wild type male or female mice. It also  
19 should be noted the highest dose tested, again,  
20 was above the traditional limit dose.

21 There are questions as to whether  
22 this transgenic mouse is an appropriate model to

1 use to assess and predict human carcinogenic  
2 potential in this instance.

3 For rasH2 dose selection  
4 recommendation, it's recognized by the Committee  
5 that the dose selection for the rasH2 is discussed  
6 based on toxicity effects, where the high dose of  
7 1 percent resulted in reduced body weight;  
8 however, following the guidance for dose  
9 selection, animal carcinogenicity studies, and the  
10 FDA reference will be provided.

11 We will also provide references on  
12 recommended dose selections for typical studies  
13 that EPA entertains. Those are OPPTS guidance as  
14 well as OECD guidance and also some publications  
15 related to using PK models in dose selection.

16 The Committee recommended the  
17 inclusion of a discussion of exposure ratio of  
18 rodent to human plasma AUC of parent compound.  
19 The pharmacokinetic endpoints for dose selection  
20 of low toxicity pharmaceuticals is of interest in  
21 light of the recent publication -- again, that'll  
22 be provided -- where they conclude exceeding a

1 high dose level of 50-fold -- I'm not sure what  
2 the a.m. -- it's not my text, so my co-discussants  
3 can tell me what the 50-fold a.m. is -- in rasH2  
4 transgenic mouse studies does not appear to be of  
5 value. Again, we provided some references for  
6 that.

7 As the document describes, DIDP is  
8 considered to be a peroxisome proliferator that  
9 can activate PPAR-alpha.

10 Health Canada and ECA have  
11 hypothesized that liver tumors in the male rat  
12 stage two mouse occur through PPAR-alpha mode of  
13 action. However, a complete analysis of the mode  
14 of action for liver tumors consistent with the  
15 cancer guidelines NIPCs has not been completed and  
16 that we recommend and assume that that kind of  
17 analysis is underway and will be completed and  
18 included in a revised final human health hazard  
19 risk assessment.

20 Depending upon the outcome of the  
21 analysis, the Agency may have to consider a  
22 different descriptor of carcinogenicity for DIDP.

1 If the analysis supports the hypothesis that DIDP  
2 is a PPAR-alpha activator in intermediate key  
3 events and modulating factors are confirmed -- are  
4 suggested by the data, and several commenters also  
5 recommended using a biological read-across  
6 approach in addition to the chemical read-across  
7 approach -- then the appropriate choice would be  
8 not likely to be carcinogenic to humans since  
9 neither tumor type observed is considered  
10 predictive of human carcinogenic potential.

11 As the document describes, DIDP is  
12 considered to be a peroxisome proliferator that  
13 can activate PPAR-alpha. Again, multiple agencies  
14 have hypothesized that these liver tumors are from  
15 that.

16 There's a suggestive uncertainty  
17 associated with the mode of induction of tumors.  
18 Postulated modes of action have been identified  
19 but not fully elucidated, and all the key events  
20 haven't been described. But again, they may not  
21 be necessary for every particular case, thus the  
22 carcinogenic potential of DIDP in humans remains

1       unclear in this. One commenter suggests that it's  
2       unclear, and it provides an uncertainty.

3               A complete analysis of -- let's see.  
4       I already said that. May risk -- a lot of cutting  
5       and pasting. There we go.

6               Some Committee members noted there  
7       was sufficient information regarding DIDP as a  
8       PPAR-alpha agonist, and again, several Committee  
9       members thought not likely carcinogenic to humans  
10      based on the fact that MnCl in the rasH2 are  
11      neither relevant to human concern. Also, the  
12      doses where findings occurred in the rodent  
13      studies were high doses above the limit dose, and  
14      if tumors do occur, they'd be through a PPAR-alpha  
15      mode of action.

16              They are not relevant for human  
17      cancer, and based on the cancer guidelines, not  
18      likely as appropriate when convincing and "the  
19      cancer guideline when convincing an extensive  
20      experimental evidence showing that the only  
21      carcinogenic effects observed in animals are not  
22      relevant to humans." Again quoting the cancer

1 guidelines, "convincing evidence that carcinogenic  
2 effects are not likely below a defined dose range.  
3 Both are true in this case."

4 Recommendation is that, after a  
5 further analysis of mode of action for the liver  
6 tumors and also using read-across from biological  
7 read-across, that consideration of a simple  
8 statement of not likely to be carcinogenic to  
9 humans is more appropriate. Again, not all  
10 commenters necessarily agree with that, but the  
11 majority did.

12 The other recommendation is the  
13 Committee recommends the use of the RISK21  
14 framework approach to enhance communication of  
15 conclusions in a sample plot and provided a couple  
16 of examples of that for the DIDP. This publicly-  
17 available tool developed through Health and  
18 Environmental Sciences Institute collaboration of  
19 which multiple government scientists were  
20 instrumental contributors, including staff from  
21 the U.S. EPA.

1           The RISK21 tool should be considered  
2 to help improve communication, both to senior  
3 leaders within the Agency as well as to the  
4 general public.

5           The OECD, Health Canada, and the  
6 Chinese Food Safety Authority all have endorsed  
7 this framework through documents and through  
8 regular use. There are a couple references, one  
9 on the OECD and one on Health Canada on how they  
10 incorporate that.

11           Those are primary comments and  
12 recommendations. I think the other -- yeah.  
13 That's all, so I guess I'll turn it over to my  
14 other commenters.

15           **DR. GEORGE COBB:** Great. Thank you,  
16 Dr. Wolf, for getting that in the record for us.

17           Now we can hear from the associate  
18 discussants.

19           Dr. Apte.

20           **DR. UDAYAN APTE:** All my comments  
21 were really well captured, and I'm actually



1 looking forward to hearing any discussion that's  
2 there.

3 **DR. GEORGE COBB:** Dr. Graham?

4 **DR. CYNTHIA GRAHAM:** Well, I thank  
5 you, Dr. Wolf. Yeah. All of my comments were  
6 included. Thank you.

7 **DR. GEORGE COBB:** Dr. Przybyla?

8 **DR. JENNIFER PRZYBYLA:** No further  
9 comments. Thank you.

10 **DR. GEORGE COBB:** Dr. Martinez.

11 **DR. JEANELLE MARTINEZ:** Hi. Yeah.  
12 I have no further comments. My comments were  
13 included. Thank you very much.

14 **DR. GEORGE COBB:** Very good.

15 Well, so, Dr. Wolf, thank you for  
16 making those -- summarizing that and getting that  
17 done right before you need to depart tomorrow.

18 Dr. Apte, do you still have a  
19 comment?

20 **DR. UDAYAN APTE:** Yeah. I just  
21 wanted to mention I think that this was kind of  
22 here in the report, but sometimes nuclear receptor

1 agonists will have, at higher doses, mechanisms  
2 that are more closer to cytotoxicity, as MRI, and  
3 this could be one of the cases of higher doses  
4 here.

5 But I just want to point out that I  
6 would personally consider these two different  
7 events. The cytotoxicity MOA of the same chemical  
8 has probably nothing to do with its PPAR-alpha  
9 agonism or nuclear receptor agonism. So, they are  
10 dual things.

11 At high doses in that, as in  
12 toxicology we say, dose determines the poison at a  
13 certain point in time, so something's going to  
14 kill the cell, and the dose comes in, but at that  
15 point, it's actual mechanism at lower doses has  
16 been superseded, and so they are two different  
17 things.

18 **DR. DOUG WOLF:** Yeah.

19 **DR. UDAYAN APTE:** I just wanted to  
20 put that on record and say I think that should be  
21 something that we should be very aware of when we  
22 talk about this.

1                   **DR. DOUG WOLF:** Yeah. I think  
2 that's really important, particularly in the rasH2  
3 transgenic model because that's one of the reasons  
4 why it doesn't support human relevance.

5                   As a pathologist, what you see at  
6 these very high doses is you get so much  
7 peroxisome proliferation, and then secondary to  
8 that, oxidative stress and cells die. You can get  
9 cytotoxicity and proliferative regeneration at  
10 those very high doses.

11                  But the molecular initiating event,  
12 using the AOP framework, is still that PPAR-alpha  
13 agonism because that's what's happening at lower  
14 concentrations. Then, as you get up to higher,  
15 then this overwhelming system where you're just  
16 pushing all these other incidental or associated  
17 events at a higher dose, which aren't related to  
18 the PPAR-alpha traditional mode of action.

19                  **DR. UDAYAN APTE:** I just think that  
20 in cases like that, the only real experiment is to  
21 have data on PPAR-alpha knockout animals and see  
22 if you still get the cytotoxicity there, which

1 would be then independent on PPAR-alpha  
2 activation.

3 I don't know whether -- I don't  
4 think we have those kind of data here, so all that  
5 doesn't matter from the standpoint of what we are  
6 trying to do. It's --

7 **DR. DOUG WOLF:** Well, plus, you  
8 don't see that in the chronic studies --

9 **DR. UDAYAN APTE:** Yeah. You don't.

10 **DR. DOUG WOLF:** -- at the lower  
11 doses, so there's still a point of departure.

12 **DR. UDAYAN APTE:** There is a point  
13 of --

14 **DR. DOUG WOLF:** Even in the HRAS  
15 mouse study there's lower doses where nothing's  
16 going on, so you still have no effect levels for  
17 whatever's happening at that high dose in the  
18 HRAS. You can still -- again, these are not  
19 genotoxic, so whatever's driving these responses  
20 is chronic toxicity, and you can select no-effect  
21 levels, whether you do a benchmark response type

1 of the traditional NOAEL. So I think we're  
2 agreeing -- vociferously agreeing.

3 **DR. GEORGE COBB:** Dr. Martinez, did  
4 you --

5 **DR. UDAYAN APTE:** Although it didn't  
6 sound like (inaudible).

7 **DR. GEORGE COBB:** Yeah. Dr.  
8 Martinez, did you have a comment?

9 **DR. JEANELLE MARTINEZ:** No. I think  
10 they covered it right now. Thank you.

11 **DR. GEORGE COBB:** Okay. Then, I do  
12 see Dr. Fenner-Crisp has her hand up.

13 **DR. PENELOPE FENNER-CRISP:** I want  
14 to vociferously agree as well and make the point  
15 about the mouse study.

16 I would suggest for reasons of the  
17 significant exceedance above the MPD -- which I've  
18 got the data here. It's 31 percent body weight  
19 reduction in males and 15 percent in female  
20 transgenic mice and 27 percent males and 4 percent  
21 in females in the wild mice. If that were a study  
22 that it come to the pesticide programs it would

1 have been thrown out. If it were required study  
2 to maintain or approve for registration, they'd  
3 have to do it again.

4 **DR. GEORGE COBB:** Okay. Thank you.  
5 That's important information.

6 All right. Are there comments from  
7 others on the Committee?

8 All right. Seeing none, thank you,  
9 Dr. Wolf. Maybe don't leave us yet. Stick around  
10 because EPA may have questions.

11 **DR. DOUG WOLF:** I'm still here.

12 **DR. GEORGE COBB:** EPA may still have  
13 questions about this. We'll turn it back over to  
14 Dr. Luz.

15 **DR. ANTHONY LUZ:** Thanks, Dr. Cobb.

16 Tony Luz here from EPA. No  
17 questions for clarification. Thank you.

18 **DR. GEORGE COBB:** Yeah. Now I think  
19 we migrate to 3.a. So, we're shuffling the deck  
20 here.

21 Dr. Ottinger will be our lead  
22 discussant when this gets read in.

1 DR. ANTHONY LUZ: Great. Thank you,  
2 Dr. Cobb.

3 Yes. DIDP Charge Question 3a.  
4

5 **CHARGE QUESTION 3.a**  
6

7 DR. ANTHONY LUZ: As described in  
8 Section 6.1.4 of the Draft Human Health Hazard  
9 Assessment for DIDP, EPA has preliminarily  
10 concluded that the HED of 9.0 milligrams per  
11 kilogram, which is a NOAEL of 38 milligrams per  
12 kilogram per day, from the two-generation study of  
13 reproduction of Sprague Dawley, or SD, rats based  
14 on reduced F2 offspring survival on post-natal day  
15 1 and post-natal day 4 is appropriate for  
16 calculation of non-cancer risk from acute,  
17 intermediate, and chronic durations.

18 Please comment on the strengths and  
19 uncertainties of EPA's preliminary conclusion.

20 Thank you.

1                   **DR. GEORGE COBB:** Now we'll turn it  
2 over to Dr. Ottinger, and if you'll read the  
3 response into the record.

4                   I want to point out to the  
5 associates that you're certainly welcome to  
6 comment at any time as well as anyone on the  
7 Committee if all the comments are not captured.

8                   Dr. Ottinger, please proceed.

9                   **DR. MARY OTTINGER:** Okay. I'm going  
10 to start with general comments.

11                   The NOAEL of 38 milligrams per  
12 kilogram per day was estimated from two 2Gen study  
13 and converted to a human equivalent dose, HED, of  
14 9 milligrams per kilogram per day. Supporting  
15 literature up to 2018 was included for  
16 epidemiology, and there were additional  
17 literatures added up to 2023.

18                   The metabolic pathway for DIDP is  
19 important for potential bioactive metabolites  
20 during excretion. The radioactive transfer study  
21 indicates most clearance over two to three days  
22 following oral route. Lines 813 to 815 DIDP does



1 not appear to have antiandrogenic effects similar  
2 to DEHP.

3 The Wistar rats, the oral exposure  
4 during pregnancy showed increased skeletal  
5 abnormalities. CD-1 mice dosed with higher  
6 concentrations of DIDP showed no adverse effect on  
7 pups or viability. However, the Sprague Dawley  
8 rats in one and two generation tests showed  
9 developmental abnormalities and reduced viability  
10 with decreased pup weight and also effects on the  
11 body weight of the female.

12 The mammal model in the in vitro  
13 studies did not reveal activities for estrogen or  
14 androgen responses. Rather, the mechanism of  
15 action seems to be PPAR-alpha activator. Thyroid  
16 and corticosterone were not examined.

17 The two-generation test did not  
18 include hormone measures, only survival sperm  
19 parameters and other downstream indicators that  
20 generally requires substantial issues in order to  
21 see effects. No effect on fertility strengthens  
22 the assertion that the primary target window is

1 developmental for the reproductive as well as for  
2 other systems, like kidneys and liver. However,  
3 one study suggested effects on the dam's ability  
4 to maintain pregnancy. The body weight of males,  
5 females, and pups was not really factored into the  
6 results, although significantly reduced.

7 Interestingly, a cross fostering  
8 post-natal study with controlled dams did not  
9 improve survival again supporting evidence for  
10 adverse developmental effects.

11 There is limited evidence, besides a  
12 previous study by the same author supporting  
13 decreased F2 survival on the postnatal day one and  
14 postnatal day four, while other studies suggesting  
15 developmental toxicity additional two-generation  
16 data are lacking.

17 EPA is considering a 2Gen test as  
18 acute exposure. However, it's unclear what EPA's  
19 policy is to take into account indirect exposure  
20 of the F1 generation. EPA states the following:  
21 "EPA considered reduced F2 offspring survival to  
22 be potentially relevant for both acute and chronic

1 exposures," page 55. It is not clear how an  
2 exposure can be both acute and chronic. Although  
3 not direct exposure to developing fetuses, the  
4 developmental toxicity endpoints were not observed  
5 in the F1 generation indicating indirect exposure  
6 to F1 animals did not influence the F2 animals.

7 The exposure time frames outlined  
8 throughout the document are inconsistent.

9 Starting on page 32 in the Draft Human Health  
10 Hazard Assessment for DIDP, EPA uses the term  
11 short-term for 1 to 30 days, subchronic greater  
12 than 30 to 90 days, and chronic greater than 90  
13 days but then uses the term acute and intermediate  
14 starting on page 49. Are short-term and acute the  
15 same exposure time frame? Same question with  
16 intermediate.

17 It is unclear why EPA designated the  
18 liver toxicity studies intermediate exposure  
19 scenario, Table 6.2, when they would fit in the  
20 definition of short-term. The longest exposure  
21 indicated in Table 6-2 is 28, which should be  
22 considered short-term. If upon reexamination, EPA

1 considered the liver toxicity studies to be acute  
2 exposure, EPA should consider deriving the POD for  
3 risk assessment using liver toxicity because  
4 there's a greater weight of evidence given that  
5 liver toxicity is observed at several durations  
6 and across species.

7 There's an agreement with EPA's  
8 assessment not to use increased incidence of  
9 spongiosis hepatitis and microgranuloma as the POD  
10 for chronic exposure given the limitations  
11 described in the document.

12 Strengths include the preliminary  
13 conclusion to use an equivalent dose of 9  
14 milligrams per kilogram with a NOAEL of 38  
15 milligrams per kilogram per day, and that's based  
16 on the results of the 2Gen study in the Sprague  
17 Dawley rats comprised of two studies, study A  
18 and B.

19 In study A, the lowest dose was the  
20 LOAEL, and in study B, the lowest dose, the 38  
21 milligrams per kilogram per day was the NOAEL.  
22 Furthermore, there are additional studies

1 principally of liver endpoints that had similar  
2 NOAEL value that translated into a comparable HED.

3 The main uncertainties were  
4 identified by the EPA. The mechanism by which  
5 DIDP inhibited survival is not clear. Nor is it  
6 clear whether the effect is caused by a single  
7 dose or repeated dosing.

8 Finally, the EPA's preliminary  
9 conclusion is consistent with hazard  
10 characterization of several other regulatory and  
11 authoritative bodies that do risk assessment  
12 around the world. We're providing examples and  
13 literature.

14 The treatment related developmental  
15 toxicity is associated with DIDP exposure, and the  
16 HED based on the NOAEL for reduced F2 offspring  
17 survival is the most appropriate and lowest HED.  
18 The slightly lower POD HED from Cho et al. studies  
19 has too many uncertainties to be used. That POD  
20 is based on a LOAEL for increased incidence of  
21 spongiosis hepatitis and microgranuloma.

1 In addition, EPA states that BMD  
2 modeling showed that the HED of 9 milligrams per  
3 kilogram is more sensitive than the HED from the  
4 Cho study.

5 There is good evidence for  
6 developmental effects of DIDP in animal models.  
7 In addition, there is evidence of developmental  
8 effects from the limited epidemiologic studies.  
9 The basis of the HED of 9 milligrams per kilogram  
10 from the two-generation rodent study follows GLP  
11 process from which the NOAEL is based. These are  
12 strengths to serve as the POD for the HED. Let's  
13 see. The HED -- sorry. Repeating things.

14 There are some uncertainties in the  
15 absence of inhalation and dermal DIDP data. It  
16 would be good to have additional text in Section  
17 6.1.4 describing major uncertainties and issues  
18 with using the oral HED for DIDP to extrapolate to  
19 inhalation and dermal routes.

20 Using a NOAEL as the basis for the  
21 POD has associated uncertainties, including the  
22 fact that the NOAEL is a function of study design.

1 Hushka et al., 2001, has good study design, but in  
2 2001 we were not examining the low-dose region of  
3 the curves. Endocrine disruption was an emerging  
4 field, and the study design is limited to identify  
5 the potential for low-dose non-monotonic effects.

6 By extension, measures of signaling  
7 molecules at postnatal day one and four are not as  
8 complete as they would be if this study was done  
9 today.

10 EPA did not conduct benchmark dose  
11 modeling on Hushka et al. or any of the other  
12 candidate PODs, except for the Cho et al. as per  
13 EPA's own guidance. Without comparing the full  
14 BMD-based analyses there's less certainty about  
15 the most sensitive endpoint.

16 There are a set of recommendations.  
17 The studies shown for setting the HED at 9  
18 milligrams per kilogram are appropriate, and the  
19 2Gen study of the Sprague Dawley rats is most  
20 rigorous for demonstrating developmental effects.  
21 Points raised in the other sections regarding loss  
22 of body weight for dams and pups should be

1 mentioned here as additional outcomes, albeit  
2 separate from the Frank toxicity tests -- effects,  
3 rather.

4 The conclusion that no endocrine  
5 disruption is incomplete without consideration of  
6 thyroid and adrenal axes test. Are any data  
7 available? The bases for extrapolation of oral  
8 exposure to dermal or inhalation routes of exposure  
9 is important and details in the appendix do help  
10 clarify the rationale. However, it is not clear  
11 how much exposure would be predicted.

12 Do these routes of exposure pose a  
13 significant hazard? It would seem so from other  
14 documents in that soil and surface water  
15 deposition would be primarily airborne. As such,  
16 how far from the source is a hazard?

17 One discussant commented, I do not  
18 agree with the Agency's proposal to use the data  
19 from the 2Gen reproduction study employing the  
20 same POD HED for all three durations of exposure.  
21 It is fine for the acute value because the hazard  
22 value is based on effects identified on postnatal



1 day 4. However, there is a general consensus  
2 across most, if not all, other regulatory bodies  
3 internationally that the liver is the most  
4 sensitive target tissue when assessing DIDP's  
5 longer-term effects and deriving hazard values for  
6 longer-term chronic exposures.

7 Thus, the Agency should look at  
8 candidate studies with a compatible exposure  
9 durations and timing of findings that evaluate  
10 liver effects. While they did, but did not use  
11 any of them for selecting the POD HED for risk  
12 characterization, most of the candidate studies  
13 were conducted using rats with two exceptions, one  
14 with mice and one with dogs. The result in the  
15 rat studies? In one case the NOAEL for liver  
16 effects was identified, and it's LOAEL was  
17 significantly lower than the NOAEL for  
18 reproductive developmental effects. It was  
19 identified in the 2Gen study, which is a LOAEL of  
20 22 milligrams per kilogram per day versus a NOAEL  
21 of 33 milligrams per kilogram per day. That rat  
22 study would likely yield a NOAEL even lower if a

1 lower dose had been tested. However, the Agency  
2 expressed some uncertainty about this study and  
3 dismissed it as Canada.

4 On the other hand, as EPA points  
5 out, there is consensus across existing  
6 assessments of DIDP by U.S. CPSC and other  
7 citations that will be provided. That's based on  
8 increased liver weight, histopathological  
9 findings, and several of these other regulatory  
10 authorities have used the study in the derivation  
11 of their long-term chronic health value.

12 EPA seems to have dismissed this  
13 study for use in the derivation of hazard values  
14 because in its view this study is limited by a  
15 small sample size and the lack of statistical  
16 analysis.

17 A recommendation with regard to  
18 sample size: Both EPA's harmonized test guideline  
19 for daily oral toxicity in non-rodents is intended  
20 to meet the testing requirements of the FIFRA and  
21 the TSCA and the OECD test guideline for at least  
22 eight animals, four female, four male, to be used

1 in each dose level if no interim sacrifices are  
2 planned. While the Hazleton study utilized  
3 groups of only three male and three females, this  
4 should not be seen as a disqualifying factor in  
5 this case. Also, it would appear that these other  
6 agencies did at least one crude measure of  
7 testicular analyses as they did identify a NOAEL.  
8 Obviously, these other agencies were not deterred  
9 by either issue.

10 Recommendation is that the Hazleton  
11 lab study be used for both subchronic and chronic  
12 POD HED. No additional uncertainty factor to  
13 accommodate subchronic Taconic extrapolation  
14 should be needed because there is general  
15 agreement that the 90-day study is adequate for  
16 predicting chronic effects based on the  
17 observation that the same or similar OIELs and  
18 LOAELs are little different for a chemical when  
19 compared to 90-day and one- to two-year chronic  
20 studies.

21 In fact, the chronic study has been  
22 eliminated from most regulatory agencies premarket

1 testing schemes for pesticide based on the  
2 determination that the longer exposures seldom  
3 provided any added value, identified few new  
4 effects, and rarely yielded lower NOAELs or  
5 LOAELs.

6 As EPA's office OPP states, even for  
7 the small percentage where there are indications  
8 that a one-year dog toxicity study would  
9 potentially provide a lower LOAEL than a 13-week  
10 study for purposes of RFD determination,  
11 differences between the LOAELs and NOAELs between  
12 the two dog studies were small, less than four-  
13 fold or less. It is unclear to what extent these  
14 small differences in LOAELs are meaningful from a  
15 practical standpoint relative to the hundred-fold  
16 default uncertainty factor commonly used in  
17 calculating RFT. In no case did these small  
18 differences have regulatory impact on pesticide  
19 risk assessments.

20 As a result of their large  
21 retrospective analysis cited above, OPP eliminated

1 the routine requirement of the one-year dog study  
2 while retaining the 13-week dog study.

3 EFSA states that for the approval of  
4 plant protection products, the scientific  
5 rationale of using dogs as a second species in the  
6 regulatory process has been debated and culminated  
7 with the elimination of the one-year dog study,  
8 from data requirements in EU, U.S., Brazil,  
9 Canada, Australia and recently Japan, leaving the  
10 90-day study as the only study available in the  
11 data set for hazard assessment in nonrodent  
12 species.

13 There is limited evidence besides a  
14 previous study by the same author supporting  
15 decreased F2 survival on postnatal day one and  
16 postnatal day four. While other studies  
17 suggesting developmental toxicity additional 2Gen  
18 data is lacking -- are lacking.

19 EPA is considering the 2Gen as acute  
20 exposure. Unclear if it is to take into account  
21 indirect exposure.

1 I believe I already read these in.

2 I think these are repeats. Sorry about that.

3 Okay. There are a number of  
4 specific comments, and I will just provide that in  
5 writing to the EPA.

6 **DR. GEORGE COBB:** Thank you, Dr.  
7 Ottinger.

8 The specific comments, those are  
9 editorial or suggested formatting kind of things?

10 **DR. MARY OTTINGER:** They are.

11 **DR. GEORGE COBB:** Okay. Great.  
12 Excellent.

13 **DR. MARY OTTINGER:** Well, there's  
14 one.

15 Clarify the point in this sentence:  
16 Are you stating that the EPA in the lines 2771 to  
17 2775? Are you stating the EPA considers the study  
18 to support a developmental toxicity NOAEL of 40  
19 milligrams per kilogram per day? If so, then  
20 consider removing the a.m. before the phrase.

1                   **DR. GEORGE COBB:** Yeah. I think  
2 those are -- yeah. I think those are all  
3 clarifications.

4                   **DR. MARY OTTINGER:** That's -- yeah.  
5 Okay.

6                   **DR. GEORGE COBB:** Excellent. Thank  
7 you.

8                   Let's move to our associates now.  
9 Let's see, we are at Dr. Heiger-Bernays.

10                   **DR. WENDY HEIGER-BERNAYS:** I have no  
11 additional comments. Thank you, Dr. Ottinger.  
12 That was everything -- everything.

13                   **DR. GEORGE COBB:** Ms. Jenkins?

14                   **MS. ALLISON JENKINS:** No other  
15 comments. Thank you.

16                   **DR. GEORGE COBB:** Dr. Przybyla?

17                   **DR. JENNIFER PRZYBYLA:** All my  
18 comments were captured. Thank you.

19                   **DR. GEORGE COBB:** Dr. Howdeshell.

20                   **DR. KEMBRA HOWDESHELL:** All of my  
21 comments were included.

22                   **DR. GEORGE COBB:** Thank you.

1 At this point, we're close to the --

2 **DR. ALAA KAMEL:** Are you going to  
3 ask the rest of the Committee?

4 **DR. GEORGE COBB:** Oh, yes. I'm  
5 sorry. Thank you, Alaa. I was so happy we had  
6 gotten to this point that I was about to skip the  
7 rest of the Committee.

8 Let's hear from the rest of the  
9 Committee. Are there any comments from others on  
10 the Committee?

11 Dr. Wolf.

12 **DR. DOUG WOLF:** Since I won't be  
13 here tomorrow when you're talking about DINP, they  
14 make a case for using a common point of departure  
15 to protect against all effects, and I think DIDP  
16 could be the same approach. I think it would  
17 behoove the Agency to look at that and make that  
18 case within the report outs that using a common  
19 point of departure protects against all acidities,  
20 including chronic toxicity and the potential for  
21 carcinogenicity as the case they make for the  
22 DINP.



1                   **DR. GEORGE COBB:** Great. Thank you  
2 for that comment.

3                   Other committee members?

4                   I should point out when I say  
5 committee members, I also mean ad hoc committee  
6 members as well.

7                   Well, seeing none, we are at the end  
8 of Charge Question 3. I think this is probably  
9 the end of what we're going to do today. But I  
10 want to turn it back over to EPA for a minute to  
11 see if there are any clarifying questions from  
12 what we've gone over in this last segment or any  
13 part of today.

14                   **DR. ANTHONY LUZ:** Thanks, Dr. Cobb.

15                   This is Tony Luz with EPA. There's  
16 no questions for clarifications on Charge Question  
17 3.a.

18                   Just want to thank all of the  
19 Committee members for their really thoughtful and  
20 really thorough and helpful discussions throughout  
21 the day on all the charge questions that we've  
22 gotten through thus far. Thank you.

1                   **DR. GEORGE COBB:** Okay. Great.

2                   Let's circle back to the other  
3 Committee members. Are there comments about any  
4 of the topics that we've talked about today that  
5 we need to have additional discussion about?

6                   All right. If not, I think we  
7 should adjourn for the day, and then we can pick  
8 up with 2.b tomorrow. We will probably circle  
9 back to a couple of risk-related concepts that  
10 have partially been captured in some charge  
11 questions and may not be. Those of you who said  
12 you were interested in that, we'll circle back to  
13 that, or we'll get to that right after we do 2.b  
14 tomorrow morning.

15                   We're nearly a full day -- perhaps a  
16 full day ahead of schedule -- so I commend  
17 everyone on that.

18                   Also, I think there are a couple of  
19 questions we're waiting to hear back from EPA.  
20 We'll try to get through those early in the  
21 morning.

1 With that, I'm going to turn this  
2 over to Dr. Kamel for closure.

3 **DR. ALAA KAMEL:** Thank you, George.

4 Well, I'd also thank all the SACC  
5 Committee members and the ad hoc reviewers and the  
6 EPA team for answering all the questions.

7 Thank you very much. We'll adjourn  
8 and join tomorrow.

9 Thank you.

10

11

**[MEETING ADJOURNED FOR THE DAY]**

12

13

**OPENING OF MEETING DAY 3**

14

15

**DR. ALAA KAMEL:** Good morning. My

16

name is Alaa Kamel, and I am serving as the

17

Designated Federal Official to the U.S. EPA's

18

Science Advisory Committee on Chemicals, SACC, for

19

this meeting. And in my role I will be opening

20

the third day of the public meeting on EPA's Draft

21

Risk Evaluation for Di-isodecyl Phthalate, DIDP,

1 and the Draft Hazard Assessment for Di-isononyl  
2 Phthalate, DINP.

3 I'd like to repeat that the SACC  
4 meetings are subject to all FACA requirements.  
5 These include open meetings, timely public notice  
6 of meetings, and document availability to the  
7 public. All documents are available to the public  
8 in the docket at regulations.gov. The link to the  
9 docket is present in the agenda. As a reminder,  
10 this meeting is being webcasted, transcribed, and  
11 recorded. A livestream of today's meeting is  
12 available on YouTube. See the link on the meeting  
13 agenda too and on the meeting website, which also  
14 has links to meetings that we had on July the 30th  
15 and July the 31st. I'd like to thank the Chair,  
16 Dr. George Cobb, for chairing the sessions and for  
17 the Committee. And also thank the Committee  
18 members and ad hoc reviewers for responding to the  
19 charge questions. And now I hand it over to the  
20 Chair, Dr. Cobb.

21 **DR. GEORGE COBB:** Thank you Dr.  
22 Kamel. And welcome, everyone. And I'll echo my

1 thanks to all in attendance today. We do have a  
2 few things we need to perhaps revisit from  
3 yesterday with some responses to charge questions  
4 and some clarifications from EPA. But before we  
5 do that, I'd like to go through and take a roll to  
6 make sure we know who's here for the record. So,  
7 Dr. Apte.

8 **DR. UDAYAN APTE:** I'm here.

9 **DR. GEORGE COBB:** Dr. Baker.

10 **DR. MARISSA BAKER:** Here.

11 **DR. GEORGE COBB:** Dr. Chaisson.

12 **DR. CHRISTINE CHAISSON:** Present.

13 **DR. GEORGE COBB:** Dr. Eick.

14 **DR. STEPHANIE EICK:** Here. Good  
15 morning.

16 **DR. GEORGE COBB:** You're early up  
17 there. Dr. Gentry.

18 **DR. ROBINAN GENTRY:** Here.

19 **DR. GEORGE COBB:** Dr. Graham.

20 **DR. CYNTHIA GRAHAM:** I'm here.

21 **DR. GEORGE COBB:** Dr. Heiger-  
22 Bernays.

1 DR. WENDY HEIGER-BERNAYS: Here.

2 DR. GEORGE COBB: Ms. Jenkins.

3 MS. ALLISON JENKINS: I'm here.

4 DR. GEORGE COBB: Dr. Li. Dr.

5 Merced-Nieves.

6 DR. FRANCESKA MERCED-NIEVES: Good  
7 morning. Present.

8 DR. GEORGE COBB: Dr. Ottinger.

9 DR. MARY OTTINGER: Here.

10 DR. GEORGE COBB: Dr. Przybyla.

11 DR. JENNIFER PRZYBYLA: Present.

12 DR. GEORGE COBB: Dr. Reif.

13 DR. DAVID REIF: Here.

14 DR. GEORGE COBB: Dr. David.

15 DR. RAYMOND DAVID: Present.

16 DR. GEORGE COBB: Dr. Fanning. Dr.

17 Fenner-Crisp.

18 DR. PENELOPE FENNER-CRISP: Here.

19 DR. GEORGE COBB: Dr. Howdeshell.

20 DR. KEMBRA HOWDESHELL: Present.

21 DR. GEORGE COBB: Dr. Martinez. Dr.

22 Shuman-Goodier.

1                   **DR. JEANELLE MARTINEZ:** (Audio  
2 distorted Inaudible).

3                   **DR. ALAA KAMEL:** Dr. Martinez was  
4 there, but she was on mute.

5                   **DR. GEORGE COBB:** Yes, you're  
6 correct. Dr. Shuman-Goodier.

7                   **DR. MOLLY SHUMAN-GOODIER:** Present.

8                   **DR. GEORGE COBB:** Thank you. Dr.  
9 Spade.

10                  **DR. DANIEL SPADE:** Present.

11                  **DR. GEORGE COBB:** And I believe Dr.  
12 Wolf is on travel today. So, let's go back to a  
13 couple that we missed. Dr. Li. And Dr. Fanning.  
14 All right, we do have a quorum, so we can proceed.

15

16                   **PANEL MEMBERS: FOLLOW-UP ON PREVIOUS DAY**

17

18                  **DR. GEORGE COBB:** The first thing  
19 I'd like to do today is there was a public comment  
20 that EPA received related to the manufacturer's  
21 request and placing that in the context of TSCA  
22 review process or the language, and it was

1 language I used about that. So, I think EPA has  
2 maybe someone to clarify or respond to that public  
3 comment that they received. And I believe that  
4 was Dr. Morris.

5 **DR. JEFF MORRIS:** Good morning,  
6 everyone. This is Jeff Morris at EPA. It's a  
7 pleasure to meet with you this morning. I can  
8 clarify the comment regarding the distinction  
9 between the risk evaluations that the EPA itself  
10 prioritizes and those that we evaluate per  
11 manufacturer's request for evaluation.

12 So, these evaluations are done under  
13 Section 6 of TSCA, and Section 6 provides two  
14 opportunities for -- or possibilities, pathways,  
15 for the EPA to evaluate existing chemical  
16 substances. One is through our own prioritization  
17 process where we identify a chemical substance  
18 that we would like to propose for a high priority  
19 for risk evaluation. And ultimately if after a 9-  
20 to 12-month process we designate that chemical as  
21 a high priority for risk evaluation, and it moves  
22 into our three- to three-and-a-half-month process



1 for conducting that evaluation, which includes  
2 public comment and peer review.

3 Section 6 also provides an  
4 opportunity for chemical manufacturers to request  
5 that the EPA conduct an evaluation. We will  
6 review that request. And if we believe there are  
7 adequate data to evaluate the chemical substance,  
8 we'll grant that request, open up a docket, and  
9 provide a -- or public comment to review the  
10 proposed evaluation and the conditions of use  
11 under which EPA would evaluate it. So, we could  
12 take comments on whether for example members of  
13 the public believe that additional conditions of  
14 use should be included in that risk evaluation.

15 And that process has taken various  
16 amounts of time. I wouldn't characterize it at  
17 all as necessarily expedited. It's just two paths  
18 under TSCA for getting a chemical into the risk  
19 evaluation process. But once the chemicals are in  
20 the process via through our own initiated Section  
21 6 process or through the manufacturer request, the  
22 evaluations are conducted under the same

1 scientific standards under Section 26 of TSCA and  
2 undergo the same public comment for draft  
3 evaluations and peer review.

4 So, I hope that clarifies that  
5 distinction between the process for initiating  
6 evaluations under Section 6 of TSCA and  
7 recognizing that, once again, once they're in the  
8 process we conduct evaluations under the same  
9 scientific standards. So, I hope that clarified,  
10 but I'm happy to follow up or provide additional  
11 explanation if anyone wishes.

12 **DR. GEORGE COBB:** It certainly does.  
13 And I do appreciate that. This is George Cobb  
14 speaking. And I will say that I used the term  
15 expedited. I was intending that to imply that  
16 there was a request that this be put in the docket  
17 separate from EPA's initial screening process.  
18 So, that was the intent and not that the process  
19 once it started was in any way expedited. So, we  
20 can have questions about that if the Committee  
21 prefers, or we can hear from Dr. Luz, who has some  
22 responses to our questions. But let's see if

1 there's questions from the Committee. Dr. Heiger-  
2 Bernays.

3 **DR. WENDY HEIGER-BERNAYS:** Yeah, I -  
4 - yes. Thank you, Dr. Cobb. I do have a question  
5 from EPA with regard to -- it's great to have the  
6 explanation of how Section 6 works. The question  
7 I think that was asked by the Committee as well  
8 was access to data. Access to data that the  
9 organizations that request the risk evaluation may  
10 have and whether EPA is able to make the same data  
11 requests under both situations under Section 6 for  
12 initiating the risk evaluation. Thank you.

13 **DR. JEFF MORRIS:** Thank you. Yes,  
14 it would be the same under either case. We'll  
15 evaluate the chemicals whether it comes in through  
16 our process prioritized or through a manufacturer  
17 request, looking at all reasonably available  
18 information. And we would expect parties who have  
19 data relevant to the evaluation to have that  
20 available, recognizing that with all assessments  
21 we have to ensure that we safeguard any  
22 confidential business information. But we do a

1 systematic review of the literature, and so we --  
2 or in either case. And so, we evaluate the  
3 databases of chemicals whether they're  
4 manufacturer requests or prioritized in the same  
5 manner.

6 **DR. GEORGE COBB:** Yeah, thank you.  
7 So, I think the question truly was, does the  
8 Agency have the ability to request additional  
9 information to fill data gaps in the manufacturer-  
10 requested review as opposed to the other reviews?  
11 And my understanding yesterday was -- or earlier  
12 in the week -- I shouldn't say what day -- was  
13 that after the review starts -- you can consider  
14 that before the review is granted, but after it  
15 starts, you can't request additional data to fill  
16 data (audio skip).

17 **DR. JEFF MORRIS:** Yeah. Okay, that  
18 helps a lot. So, when we grant a request, the  
19 term we use is it's facially complete. We believe  
20 that the request is complete and that we have  
21 adequate information to do the risk evaluation.  
22 Under Section 4 of TSCA we have the ability to

1 require the generation of data if we believe those  
2 data are needed under Section 6 to conduct an  
3 evaluation.

4 I will say that from a timeliness  
5 perspective, once we do grant the risk evaluation,  
6 it's under the same clock as the prioritized  
7 evaluations, which means we have three years to  
8 get the evaluation done, which means we have  
9 somewhere around a year to 18 months to get the  
10 analysis completed and get a draft ready for  
11 public comment and peer review. So, the time  
12 between grant and issuing of draft risk evaluation  
13 is not a long time to require -- or acquire  
14 additional information. So, that's why we aim  
15 with manufacturer requests since we're not going  
16 through the 9- to 12-month year prioritization  
17 process to make the determination that the request  
18 is facially complete and therefore is ready to go  
19 in terms of having the information necessary to  
20 conduct an evaluation, which again, I'll just  
21 reiterate, doesn't preclude the statutory  
22 authorities we have under Section 4 of TSCA to

1 require the generation of data we believe is  
2 needed for risk evaluation.

3 **DR. GEORGE COBB:** Excellent. Thank  
4 you for that clarification. And I am sensitive to  
5 the -- and I think the Committee is too -- the  
6 time constraints. And I think we comment on that  
7 pretty frequently. So, I appreciate that  
8 response. All right. Let's see. Dr. Chaisson.

9 **DR. CHRISTINE CHAISSON:** Yes. Can I  
10 ask EPA? Is there any perceived advantage to the  
11 Agency or to the public for taking requests for  
12 these particular reviews since you already have a  
13 caseload that's pretty amazing? And so, there  
14 must be some public interest in adding to that  
15 pile. Can you speak to that?

16 **DR. JEFF MORRIS:** Yes, I can. It's  
17 actually a statutory requirement. So, section --  
18 oh, I should back up. First of all, Section 6  
19 requires the Agency -- I'm speaking to caseload --  
20 to have at least 20 prioritized risk evaluations  
21 in the program at any given time. So, that means  
22 when we complete one we need to bring one back in

1 so we have a caseload of 20 prioritized  
2 evaluation. The statute also requires us to have  
3 no less than 25 percent, but no more than 50  
4 percent additional chemicals in as manufacturer  
5 requests if we get that many requests. So, with a  
6 caseload of 20 prioritized, that would mean  
7 between five and ten extra as manufacturer  
8 requests. So, the ability of manufacturers to  
9 request and our requirement to accept those  
10 requests if they fill requirements is actually a  
11 part of the statutory requirements under TSCA.

12 **DR. CHRISTINE CHAISSON:** Thank you  
13 very much. That's very helpful. I appreciate  
14 that.

15 **DR. GEORGE COBB:** Yes. Thank --  
16 yes. I feel that. Thank you very much for those  
17 answers and for taking the questions kind of on  
18 the fly. We didn't anticipate that we were going  
19 to have this discussion this morning. So, thank  
20 you very much. Dr. Morris.

21 At this point Dr. -- sorry -- Dr.  
22 Luz has a response to some questions we had a day

1 or so ago, and most of them were by Dr. Chaisson,  
2 I believe, but perhaps by others. So, Dr. Luz, do  
3 you have that response?

4 **DR. ANTHONY LUZ:** Morning, Dr. Cobb.  
5 Yes, we have responses from EPA. And, again,  
6 these were in response to questions primarily from  
7 Dr. Chaisson that she kindly provided to us via  
8 email and writing, as we respond in writing. So,  
9 I'm going to do my best to paraphrase the  
10 questions, and I'll provide EPA's response and  
11 read the response verbatim into the record.

12 So, the first question pertained to  
13 the Consumer Exposure Model, or CEM, whether or  
14 not it had been peer reviewed. That question also  
15 was asking related to the Exposure Factors  
16 Handbook, whether or not that had been peer  
17 reviewed. And it had kind of sub-questions  
18 related to portions of size of fish consumption  
19 that the Agency used.

20 So, the Agency's response. "CEM  
21 went under peer review in 2016. Feedback from the  
22 peer review process was incorporated into CEM



1 before public release of Version 2.0 in 2017.  
2 Fish ingestion rates and factors are discussed and  
3 cited in Section 7 of the Environmental Media and  
4 General Population Technical Support document."

5 Do you want me to pause there to see  
6 if there's any responses from the Committee to  
7 that questions? Or do you just want me to  
8 continue on with --

9 **DR. GEORGE COBB:** You can proceed  
10 either way. It's fine with me either way.

11 **DR. ANTHONY LUZ:** Okay. Great.  
12 Thanks, Dr. Cobb.

13 Okay. The next question again  
14 pertained to EPA's use of CEM and it not being  
15 used actually for the dermal exposure assessment  
16 for consumers. This question's a little  
17 technical, so I'm just going to read the question  
18 verbatim. "Did it use degrading absorption and  
19 transfer coefficients with repeated exposure? Are  
20 the dynamics of leaching understood for the  
21 different plastics and other matrices such as  
22 cellulose from which the different phthalates can

1 escape under conditions of aging, structural  
2 stress, temperature, et cetera? Is that factored  
3 into the exposure scenario? Can you please  
4 summarize how that was represented in Draft  
5 Consumer Exposure Analysis?"

6 So, EPA's response. "EPA generally  
7 discussed DIDP formulation and potential leaching  
8 in the first paragraph of Section 2.2. Further  
9 considerations mentioned in the question are not  
10 included in the estimates. EPA welcomes SACC  
11 recommendations for references that can be used to  
12 qualitatively discuss such complexities in the  
13 context already provided in Section 2.2."

14 Okay. So, the third question. "In  
15 Section 5" -- so Page 115, Lines 2052 through 2058  
16 -- "pertaining to chemical rate was derived from  
17 surrogate data. Could you point to where the  
18 surrogate data are identified and extracted for  
19 use here?"

20 EPA's response. "Section 2.1.2.1,  
21 see chemical migration rate subsection, Line 806.  
22 This subsection contains references and

1 descriptions of the chemical migration rate  
2 selection." And that's a response to that  
3 question.

4 The next question, Question 4. "So,  
5 as migration proceeds over time, migration  
6 dynamics change function of integrity of the  
7 initial plastics, decreased concentration of a  
8 plasticizer, dynamics of use of the product. So,  
9 the distribution of values of migration rates can  
10 be frontloaded in relation to plasticizer  
11 concentration of the product, but also degradation  
12 of the product plastic in older items can affect  
13 the rate of release. How is this handled? What  
14 value was chosen for the deterministic value to be  
15 used? Was this discussed and surrogate chemical  
16 migration dynamics shown?"

17 So, EPA's response. "EPA recognized  
18 the sources of uncertainty from some of the  
19 mentioned considerations, specifically migration  
20 of DIDP from solid products to aqueous solutions  
21 in the dermal assessment and uncertainties due to  
22 chemical leaching for products. So, see first

1 paragraph in Section 2.2 and Section 5.1, chemical  
2 migration rate discussion. And concerns are  
3 available in Sections 2.1.2.1. That's the  
4 chemical migration rate subsection, as well as in  
5 Section 5.1. In article inhalation scenarios,  
6 DIDP is released into the gas phase. The article  
7 inhalation scenario tracks chemical transport  
8 between the source, air, airborne, and settled  
9 particles indoor sinks by accounting for  
10 emissions, mixing with the gas phase, transfer to  
11 particulates by partitioning, removal due to  
12 ventilation, removal due to cleaning of settled  
13 particulates, and dust to which DIDP has  
14 partitioned, and sorption or desorption to slash  
15 from interior surfaces. The emissions from the  
16 article were modeled with a single exponential  
17 decay model." That's our response to the Question  
18 4 that posed.

19 And then Question 5 was asking for  
20 clarification for products under the domain of FDA  
21 for regulation. "Do the FDA risk assessments  
22 consider the exposure scenarios mandated by TSCA?"

1 Some of the examples given in this being  
2 pertaining to phthalates and food uses, PESS,  
3 phthalate uses in medical equipment.

4 So, EPA's response. "EPA OPPT is  
5 currently focused on assessing the exposure and  
6 hazard to DIDP and DINP under TSCA. To respond to  
7 these questions, EPA would need to coordinate with  
8 FDA."

9 The next question, so question  
10 number six. "Lines 987 through 988, EPA based  
11 confidence in weight fractions for different  
12 products on the number and age of data sources  
13 that were used, and the difference between  
14 moderate and low confidence was based on the age  
15 of the sources. Particularly given that DIDP is a  
16 replacement for DHP in some products, how does EPA  
17 define more current or less current? Is there a  
18 generalizable trend in the rate of DINP use across  
19 product categories that could be used to reduce  
20 uncertainty?"

21 So, EPA's response. "EPA recognizes  
22 that the terms more current and less current are

1 difficult to apply across products and articles  
2 and further description may be needed. Articles  
3 that remain place can be reformulated in the  
4 content of DIDP change. Having weight fractions  
5 that are reported in past CDR reporting cycles but  
6 not in current ones is considered less current, as  
7 this is our indicator of manufacturing and  
8 production practices and changes. Completed  
9 assessments and product testing reports published  
10 before CDR reporting changes are evident, are less  
11 current. Obtaining SDS for products such as  
12 adhesives that are contemporary with the products  
13 available to consumer the moment of assessment  
14 development are considered more current." And  
15 that's the response to that question.

16 And then the final question, so  
17 Question 7. "Are phthalates used in hydraulic  
18 fracturing for oil and gas? Where is this  
19 included?"

20 So, EPA's response. "EPA's COU  
21 table for DIDP and DINP do not include hydraulic  
22 fracturing for oil and gas. As EPA develops the

1 draft risk evaluations for other phthalates, EPA  
2 will consider hydraulic fracturing for oil and gas  
3 as appropriate." That brings us to the end of the  
4 EPA responses to the questions posed yesterday  
5 morning. So, I'll turn it back over to you, Dr.  
6 Cobb.

7 **DR. GEORGE COBB:** Thank you, Dr.  
8 Luz. That was a comprehensive response.

9 **DR. CHRISTINE CHAISSON:** Excuse, Dr.  
10 Cobb. I have follow-up question on the first  
11 question.

12 **DR. GEORGE COBB:** Well, I was  
13 getting to that.

14 **DR. CHRISTINE CHAISSON:** Sorry.

15 **DR. GEORGE COBB:** We'll now turn  
16 this over to the Committee for further question or  
17 comment regarding this. So, Dr. Chaisson, you had  
18 --

19 **DR. CHRISTINE CHAISSON:** Yeah. On  
20 the very first question -- thank you for that, by  
21 the way. That was very helpful. The very first  
22 question I asked if the peer reviews are publicly

1 available documents. Are they? And if so, how do  
2 we get one of those?

3 **DR. LAURA KRNAVEK:** Hi, this is  
4 Laura Krnavek. We're currently working on getting  
5 those, this specific goal, 2016 document, to see  
6 if it's publicly available. We do have it. Just  
7 not entirely sure it is publicly available.

8 **DR. CHRISTINE CHAISSON:** Will you be  
9 getting back to us about that?

10 **DR. LAURA KRNAVEK:** Absolutely. As  
11 soon as I know a path to get it across to you.  
12 Yeah.

13 **DR. CHRISTINE CHAISSON:** Just to  
14 comment, when I read something like that in a  
15 document generically, it seems to infer some kind  
16 of pedigree. And without the documents being  
17 publicly available, I'm not sure that that is  
18 fair, if you will. So, I think it's really  
19 important to have claims of peer review available  
20 to anybody to take a look and see what was  
21 discussed during the peer review and whether or



1 not the current versions of these models reflect  
2 what the peer review issues were. Thank you.

3 **DR. GEORGE COBB:** It's an important  
4 point. And perhaps we can capture in the meeting  
5 minutes. And thank you for that response. Okay.  
6 Are there other questions?

7 If not, now I would like to circle  
8 back to any comments that Committee members -- not  
9 believe, did not get covered yesterday. We went  
10 through a lot of material. We're nearly an entire  
11 day ahead of schedule. So, I'm sure there are a  
12 few things that got omitted. And if there's  
13 anybody that would like to enter information in  
14 from previous charge questions that we've already  
15 covered, I would entertain those now. Dr. Gentry.

16 **DR. ROBINAN GENTRY:** Thank you, Dr.  
17 Cobb. Can you hear me okay?

18 **DR. GEORGE COBB:** Yes, we can. Or  
19 at least I can.

20 **DR. ROBINAN GENTRY:** Okay. I think  
21 around our discussion -- and first I have to thank  
22 Dr. Chaisson. Like we said, she had a lot to deal

1 with yesterday, a lot of questions. And I think  
2 there were a few issues around Charge Question  
3 1.b.i for DIDP that may have not been included  
4 yesterday. So, I wanted to make sure those were  
5 add. There were some issues in the comments  
6 around the sentinel exposures for the screening  
7 approach that suggested some food chain issues  
8 were overlooks, like consumption of fish and  
9 especially to PESS communities and subsistence  
10 communities. I think we'll need to clarify that  
11 or discuss that because tribal ingestion for the  
12 subsistence fisher was considered a sentinel  
13 exposure scenario in Section 7. It's also  
14 displayed in Figure 2-1 of the document.

15 Also, there was an issue raised  
16 around swimming or surface water consumption, and  
17 that is covered in Section 5 of the document,  
18 where swimming and incidental ingestion of water  
19 is part of swimming is included in the sentinel  
20 exposure. So, I'm not sure we discussed that or  
21 that was entered thoroughly yesterday, so I wanted  
22 to make sure that was added.

1                   **DR. GEORGE COBB:** Yes. Thank you  
2 for entering that into the record because that's  
3 important, especially to get the comments right.  
4 If there's nuance behind any of that, it's really  
5 good to have that in the report. And I really  
6 appreciate you clarifying that. Unless there's  
7 more, I think the next respondent is Dr. Heiger-  
8 Bernays.

9                   **DR. WENDY HEIGER-BERNAYS:** Thank  
10 you. Yes, this I think fits with yesterday's  
11 comments. And, again, thank you to Dr. Chaisson  
12 for that incredible summary and to Dr. Luz and EPA  
13 for responding too with some really important  
14 answer.

15                   I want to recognize that this is  
16 with regard to the risk -- so the risk assessment.  
17 And for occupational exposures, central tendency  
18 in 95th percentile exposures were evaluated, but  
19 only the central tendency conditions were carried  
20 through to the risk characterization. EPA does  
21 justify why the pivot -- I think it's a pivot --  
22 from the past practice. I will note that

1 benchmark was exceeded for some COUs using the  
2 95th percentile exposure conditions. For the  
3 record, like EPA to provide justification for the  
4 use of the 50th percentile exposure estimates  
5 carried through the risk analysis. Thank you.

6 **DR. GEORGE COBB:** Thank you, Dr.  
7 Heiger-Bernays. And I will echo that comment  
8 because this is a topic that the SACC has brought  
9 to EPA repeatedly regarding protecting from higher  
10 exposure, especially for sensitive types of  
11 groups. So, thank you for that comment. Are  
12 there other comments from the Committee regarding  
13 things that we've covered in previous charge  
14 questions? Okay. Seeing none.

15  
16 **CHARGE QUESTIONS FOR DIDP RISK EVALUATION**

17 **2. ECOLOGICAL HAZARD**

18 **CHARGE QUESTION 2.b**

19  
20 **DR. GEORGE COBB:** I believe  
21 yesterday we skipped Charge Question 2.b, and we  
22 can resume our discussion today with Charge

1 Question 2.b. If Dr. Luz or someone from EPA can  
2 read that into the record for us.

3 **DR. ANTHONY LUZ:** Dr. Cobb, this is  
4 Tony Luz with EPA. I'll read DIDP Charge Question  
5 2.b. "Fate and transport modeling analyses  
6 indicate that when DIDP is released to the  
7 environment it is expected to partition primarily  
8 to soils and sediments; therefore, these media are  
9 of high priority for environmental exposure  
10 analyses. As described in Section 4 of the Draft  
11 Environmental Hazard Assessment for DIDP, no  
12 hazard data were identified for DIDP for soil  
13 invertebrates. DINP was selected as an analog for  
14 read across of soil invertebrate hazard data as  
15 described in Appendix A of the Draft Environmental  
16 Hazard Assessment for DIDP. Please comment on the  
17 appropriateness of the methods used to identify  
18 DINP as an analog for DIDP."

19 **DR. GEORGE COBB:** Okay. Thank you.  
20 And our lead discussant is Dr. Reif. Dr. Reif,  
21 are you there? Is Dr. Reif there?

1                   **DR. ALAA KAMEL:** Can anyone else  
2 from the discussants -- do they have their  
3 response?

4                   **DR. GEORGE COBB:** Dr. Reif was there  
5 just when we started this.

6                   **DR. ALAA KAMEL:** Yeah.

7                   **DR. GEORGE COBB:** He disappeared.

8                   **DR. SHARLENE MATTEN:** Maybe by  
9 phone. It is the 919 number. It may be a  
10 telephone, but he needs to unmute his telephone.

11                   **DR. GEORGE COBB:** Dr. Reif, are you  
12 there?

13                   **DR. ALAA KAMEL:** We can come back to  
14 him if he's not here. We can go to the  
15 discussions if you would like to and then come  
16 back to him.

17                   **DR. GEORGE COBB:** Let's see. This  
18 is George Cobb here. Is there anybody in the  
19 group that is prepared to read the response into  
20 the record for this charge question.

21                   **DR. KEMBRA HOWDESHELL:** This is  
22 Kembra Howdeshell. We didn't receive -- or I

1 didn't receive a compiled response from Dr. Reif.  
2 He may be having connectivity issues.

3 **DR. GEORGE COBB:** Looks like that's  
4 the case. There is a phone there that's muted.

5 **DR. ALAA KAMEL:** Yes, I see this 919  
6 number that is muted. Right.

7 **DR. GEORGE COBB:** It's not like the  
8 online where we can mute and unmute people. Can't  
9 do that. So, okay. Well, if there's nobody  
10 that's prepared to -- let me ask it this way. Do  
11 individual associates in this topic have comments  
12 that they would like to offer at this time rather  
13 than a unified response? So, I don't see anyone  
14 who's ready to do that because we're trying to  
15 compile all of these together. So, I think we're  
16 going to have to move to the -- no, we're not  
17 going to move to the DINP yet.

18 Let's do this. Still try to get Dr.  
19 Reif back in communication. There were a number  
20 of folks who wanted to comment on how things from  
21 the exposure assessment and the risk assessment  
22 paradigms influenced the risk assessment document

1 -- the risk evaluation document. So, I think  
2 maybe we can move into that without leaving DIDP  
3 yet. So, this is primarily related to DIDP, but  
4 perhaps not. It was not a charge question, but  
5 there's some related issues from the hazard and  
6 the ecological exposure side and human exposure  
7 side that truly do cascade into the risk  
8 evaluation. So, there were a couple folks that  
9 indicated a desire to comment on this, and I'd  
10 like to open it up to those folks or anyone else  
11 to comment on the risk assessment aspect. Dr.  
12 Chaisson.

13 **DR. CHRISTINE CHAISSON:** Well, I'll  
14 start this conversation off. As part of the  
15 exposure assessment commentary, we're trying to  
16 expand the conversation, if you will, to touch on,  
17 if not include completely, the issues around how  
18 the risk assessment -- in other words, from the  
19 perspective of the exposure assessment review, it  
20 is the -- or the data and the methods or  
21 approaches in the models in the way the exposure  
22 assessment and the things that were included, if



1 you will, in the exposure assessment, is that  
2 appropriate to the needs of the hazard assessment?  
3 In other words, what's being done and how the data  
4 are used in models really must comport to the  
5 inferred questions that come out of the metrics of  
6 the hazard assessment. I mean, the most obvious  
7 for the kind of thing is you don't want an acute  
8 assessment to be used in place of a lifetime of  
9 chronic assessment. That's simplistic, but it  
10 just illustrates why something could be  
11 mathematically correct but not relevant to the  
12 hazard question. So, we're trying to structure,  
13 if you will, some of the conversation.

14 That gets particularly important or  
15 let's say invites commentary from us when we take  
16 a look at the entire spectrum of phthalates and  
17 the types of exposures that could occur. So,  
18 there is obviously an intent that EPA is headed  
19 toward doing some kind of cumulative assessment  
20 across the phthalates. So, once again, this very  
21 important issue of how the exposure assessment

1 meets the needs that come up across from the  
2 hazard assessment.

3 So, I just point that out to people  
4 to try to understand the structure for our  
5 comments and to invite particularly the people on  
6 SACC to lend their comments to us directly in  
7 Sections 1 -- in Charge Question 1.a.v - or, yeah,  
8 five in particular. And 1.a.i in particular. So,  
9 not to limit it to those, but those are clearly  
10 places where we will be beginning this kind of  
11 conversation. And so, anybody from the SACC or  
12 otherwise, we would invite their participation to  
13 lend us their thoughts on that. Thank you.

14 **DR. GEORGE COBB:** Thank you, Dr.  
15 Chaisson. I see Dr. Ottinger.

16 **DR. MARY OTTINGER:** Good morning.  
17 Thank you, Dr. Chaisson. You captured the essence  
18 very well. And I just want to drill into one of  
19 the areas that perhaps could make this a more  
20 concrete discussion, and that is that in terms of  
21 both hazard and risk there are sets of data that  
22 aren't there. And so, the question is, can one

1 conclude that if no data are available or,  
2 conversely, if there are data but there's a  
3 mismatch between measured and environmental levels  
4 and then lack of response -- we've seen it going  
5 several different directions there, but they  
6 didn't coincide -- is the conclusion that there's  
7 no hazard actually logical at that point? And I  
8 realize in saying that that the frustration is the  
9 lack of data for some of the specific species.  
10 So, that brings up the question of the model  
11 species, sentinel species, and going down that  
12 path, which is extremely useful, extremely  
13 important.

14 But circling back, is it appropriate  
15 to say there's no hazard in the situation where  
16 data aren't available to actually draw that  
17 conclusion? Are there models or literature out  
18 there that would give us more insights that then  
19 could be used to at least partially develop a more  
20 complete estimate, if you will, of both hazard and  
21 risk? And, sorry, that's probably a bit

1 confusing, but at least hopefully getting the  
2 discussion continued along those lines.

3 **DR. GEORGE COBB:** That helps a lot.  
4 Those are similar to my comments. And I had some  
5 follow-ups, but I see Dr. Fanning has been  
6 waiting. So, I'd like to go to her next.

7 **DR. ELINOR FANNING:** Thank you. And  
8 I'm going to continue a little bit in the same  
9 vein of the comments by Dr. Chaisson and Dr.  
10 Ottinger. I think our final comments will reflect  
11 some detailed input on the aggregate exposure  
12 assessment that was done and kind of how EPA does  
13 and in some places does not carry forward the  
14 concepts of aggregating exposures and therefore  
15 risks across articles within a COU, across COUs.  
16 And then as we get to other phthalates, the issues  
17 that Dr. Chaisson brought up, really making sure  
18 that we are capturing those highly-exposed  
19 consumers through these sort of cumulated and  
20 aggregated assessments.

21 And I wanted to add one other thing  
22 on that which has to do with the definition of

1 PESS in this assessment. I think that it's very  
2 important to recognized that PESS groups may be  
3 defined through biological susceptibility as has  
4 been done here. We have susceptible life stages  
5 for phthalates. But also through high-exposure  
6 scenarios. So, exposure PESS, if you will, is a  
7 piece that we will provide some more detailed  
8 comment on. And I think we did begin that  
9 discussion during the earlier discussion on Charge  
10 Question 1.a for DIDP. But we would like to make  
11 sure that this risk evaluation in the end really  
12 has captured those high-exposure PESS, and that  
13 might mean aggregating over the general population  
14 exposures and the indoor consumer dust for  
15 example.

16 So, I'm not going to give detailed  
17 comments on those recommendations yet because we  
18 need to work them out. I just want to say that we  
19 can expect some comment on that. So, thank you.

20 **DR. GEORGE COBB:** Thank you. That  
21 was an important comment about how these data  
22 aggregations should be considered. Appreciate

1 that. Are there other comments from the  
2 Committee? Back to Dr. Ottinger.

3 **DR. MARY OTTINGER:** Again, just to  
4 further muddy the waters so to speak, most not  
5 only PESS populations but most wildlife are  
6 exposed to multiple chemicals in mixtures. And  
7 clearly working with mixtures is a whole different  
8 issues. But as EPA looks at the high-priority  
9 other phthalates, it would be very, very helpful  
10 to be able to look at them individually but then  
11 look at them in combination if the locations are  
12 such that there's likelihood of multiple exposures  
13 or environmental movement of these chemicals.

14 So, I just want to raise that as  
15 something that perhaps is a little bit more  
16 outside the scope of what we're looking at right  
17 now but a reality that many populations, both  
18 wildlife and human, have to encounter.

19 **DR. GEORGE COBB:** Yeah, understood.  
20 Dr. Fanning, you're back.

21 **DR. ELINOR FANNING:** Yes. Dr.  
22 Ottinger's comment made me realize there was one

1 other piece I wanted to add to that earlier  
2 remark. And that is that our group has had some  
3 discussion, and the questions answered this  
4 morning were part of that, of the non-TSCA uses of  
5 phthalates. And while those will not be an  
6 explicit part perhaps of the risk evaluations --  
7 at least they are not in the current document --  
8 we do feel they are very important considerations  
9 for defining who the high-exposure PESS are. And  
10 the data and authoritative evaluations that have  
11 been carried out to date indicate that diet is the  
12 predominant route of exposure for the majority of  
13 the population, so food contact articles are very  
14 important in that regard. However, there can be  
15 some very highly exposed individuals. Again, this  
16 is a set of uses under FDA authority, but there  
17 are very highly exposed individuals receiving  
18 exposure through medical products. So, I just  
19 wanted to say that some of the non-TSCA uses may  
20 be relevant to help identify who are really the  
21 PESS groups of concern here. Thank you.

1                   **DR. GEORGE COBB:** Thank you for that  
2 comments. I think that's important as well. I  
3 did want to circle back a little bit to some of  
4 the thing to -- to amplify on some of the things  
5 Dr. Ottinger was saying and perhaps repeat a thing  
6 or two that have been brought up before.

7                   The risk assessment has no  
8 consideration, no evaluation of the toxicity data  
9 compared to the ecological exposure data. And so,  
10 that's a hole. And as we discussed yesterday, the  
11 document by Adams et al. clearly states they did  
12 not consider immobilization in their toxicity  
13 assessment. They observed it, and if it was too  
14 great, they repeated the study. So, that study  
15 did not consider entrapment; it considered  
16 immobilization as the endpoint. And so, the  
17 premise to disregard that study because it was  
18 entrapment is, in my estimation, erroneous. And  
19 that completely changes the assessment. So,  
20 that's the first thing.

21                   The other aspect is there are  
22 mentions of high exposure scenarios in the



1 ecological exposure assessments that are not high  
2 exposures. They are mean exposures from municipal  
3 discharges or from watersheds that may or may not  
4 include industrial discharges, but nowhere are  
5 there evaluations of concentrations near  
6 industrial discharges in any of the publications  
7 that I saw. If you are to take a high sentinel  
8 value from either the wind study or from the trans  
9 study -- one was from, I believe, Taiwan. And the  
10 tran study was from, I believe, France. If you  
11 were to take high sentinel values from those, you  
12 end up with hazard quotients above one for  
13 measured toxicity values. And so, that really  
14 needs to be carefully revised to ensure that the  
15 data are being accurately represented.

16 I think that's all that I had.

17 There were a couple things on the exposure side  
18 for humans, but I think those were captured in  
19 some of the other comments that came up today.

20 Are there other members -- ah, I see Dr. Fenner-  
21 Crisp.

1                   **DR. PENELOPE FENNER-CRISP:** Yes. I  
2 wanted to make a comment on Dr. Fanning's comments  
3 about what all would be in the exposure  
4 assessment, and she was highlighting the non-TSCA  
5 uses for these seven chemicals. One might need to  
6 think about additional scenarios. There are other  
7 chemicals in this subclass that aren't being  
8 analyzed at the moment. Many, if not all of  
9 those, are also on the TSCA inventory and  
10 therefore do have TSCA uses and might well have  
11 non-TSCA uses. How will exposure to those be  
12 accommodated in these assessments and in the CRA  
13 once they get around to be doing that?

14                   **DR. GEORGE COBB:** So, Dr. Fenner-  
15 Crisp, is that something you're the Agency now or  
16 something you think should be addressed as a  
17 question in the report?

18                   **DR. PENELOPE FENNER-CRISP:** I'm  
19 suggesting that the extension of Dr. Fanning's  
20 comments may include this particular issue.

21                   **DR. GEORGE COBB:** Correct. Yeah, I  
22 understand. And I appreciate that.

1           **DR. PENELOPE FENNER-CRISP:** That is  
2 a separate question.

3           **DR. GEORGE COBB:** Yeah. We will  
4 make sure that those --

5           **DR. PENELOPE FENNER-CRISP:** How  
6 might you fold that into the discussions of the  
7 aggregate slash cumulative exposure.

8           **DR. GEORGE COBB:** Right, TSCA, non-  
9 TSCA uses. Yes.

10           **DR. ELINOR FANNING:** Yeah, thank you  
11 for that. And I think that just goes to -- Dr.  
12 Chaisson opened kind of this conversation by  
13 saying our group would welcome any input and  
14 comment from you and others as we try to put  
15 together our comments on these issues. So, very  
16 helpful and please do share with Dr. Chaisson what  
17 input you have on that.

18           **DR. PENELOPE FENNER-CRISP:** Yeah,  
19 I'll write a little note and send it off to her  
20 and put on paper what I've just said.

1                   **DR. GEORGE COBB:** Thank you. Okay.  
2                   So, I think we're -- Dr. Li. Dr. Li, you're still  
3                   on mute. There you go.

4                   **DR. LI LI:** Oh, can you hear me all  
5                   right?

6                   **DR. GEORGE COBB:** Yes.

7                   **DR. LI LI:** Okay. So, I just want  
8                   to read this into record. So, because I just saw  
9                   lot of discussion when talking about risk  
10                  assessment, a lot of discussion, a lot of  
11                  attention just being put on the toxicity or  
12                  toxicological side, I just want to mention this  
13                  from another angle, which is exposure angle.

14                  So, my point is like, if we do want  
15                  to pursue a risk assessment, maybe we have to  
16                  consider the multidimensionality in exposure so  
17                  that aggregate exposure can be evaluated by  
18                  considering, number one, multiple exposure  
19                  pathways, number two, multiple environmental media  
20                  or multiple scales of the environment, and, number  
21                  three, emissions from multiple stages in the  
22                  lifecycle.

1           So, for example, at this moment the  
2 exposure assessment is done for one source at a  
3 time, and they consider different aspects of the  
4 environment, different aspects of exposure  
5 pathways, and different aspects of the human and  
6 the population characteristics and the behaviors.  
7 And so, in this case, the estimated exposure  
8 cannot be compared or cannot be added, cannot be  
9 aggregated, into the totality. So, this is why  
10 the report keeps concluding that there's no risk  
11 for this, there's no risk for that. But if you  
12 add everything together, maybe the risk can be  
13 higher than the acceptable level. For example, at  
14 this moment, the indoor environment or the  
15 consumer environment is something separated from  
16 the outdoor environment or the natural  
17 environment. Which means, if you used DIDP-  
18 containing products or containing articles in a  
19 certain COU in the indoor environment, the  
20 chemical will be confined within that indoor  
21 environment, or the residential environment. So,  
22 in reality, if you do use the chemical in your

1 home and when you open the window, when you open  
2 the doors, the chemical can be ventilated out, or  
3 the chemical can move from the home to the natural  
4 environment, which also cause the contamination in  
5 the environment nearby.

6 And we have to consider the  
7 contamination from one scale of environment to the  
8 other scale of environment. And that example is  
9 at this moment the emission into different  
10 settings of environment has been considered  
11 separately. One thing is the emission -- we  
12 consider the industrial emission to the natural  
13 environment, but we consider the consumer  
14 emissions from products into the indoor  
15 environment. And when we do the assessment, we  
16 just do the people separately. We focus on  
17 someone who is living very close to the industrial  
18 sites and the consumer who is isolated from that  
19 industrial site, but actually, we can imagine some  
20 worst case. That is, there is a person who is  
21 living very close to the industrial sites who is  
22 in a fence-like community. And same time, this

1 person also use the products and the articles, so  
2 they also have the chance to be exposed to DIDP  
3 through the daily behavior. At the same time,  
4 this guy is also swimming in a water body which  
5 receives the DIDP from the release from the  
6 industrial discharge. At this moment, the report  
7 just consider these three things as separate  
8 things. But if you add them together, maybe the  
9 situation would be totally different. So, that  
10 would be some point I want to make.

11 **DR. GEORGE COBB:** Thank you. And  
12 those are important parts of this kind of  
13 consideration. So, thank you very much for that  
14 comment. Did I see Dr. Fanning had her hand up?  
15 It seems to have disappeared.

16 **DR. ELINOR FANNING:** No, I'm fine.  
17 Thank you, Dr. Cobb.

18 **DR. GEORGE COBB:** Okay. So, I think  
19 we're done with that. I do not believe Dr. Reif  
20 has joined us. He had a connectivity issue that  
21 he's having to try to get to another computer,  
22 which may be a ways away. At the risk of getting

1 off track, perhaps we can take a ten-minute break  
2 now and try to give Dr. Reif a chance to return.  
3 If he has not returned, perhaps we need to have  
4 the other discussants read their comments in as  
5 best they can.

6 **DR. ALAA KAMEL:** Dr. Cobb.

7 **DR. GEORGE COBB:** And I see Dr.  
8 Lowit. I see Dr. Lowit is there, and I kind of  
9 got -- again, got off track with our connectivity  
10 problems with Dr. Reif. Dr. Lowit.

11 **DR. ANNA LOWIT:** Yeah. You didn't  
12 ask us for clarification before you transitioned  
13 to what you're doing next. So, I just was wonder  
14 --

15 **DR. GEORGE COBB:** Yeah. Yeah,  
16 please ask for questions or clarifications.

17 **DR. ANNA LOWIT:** Just one sort of  
18 point of clarification. I really enjoyed that  
19 whole conversation, and I really appreciate the  
20 points made by several of the commenters about the  
21 importance of what I like to call the connectivity  
22 of the hazard and exposure and to make sure that



1 they match on a temporal and spatial basis,  
2 ensuring that the connection between hazard and  
3 exposure is vitally important. And particularly,  
4 as we think about the cumulative assessment and  
5 more and more potent compounds that go through the  
6 program, we're going to need to be doing more and  
7 more very spatially-explicit, temporally-explicit,  
8 life stage-specific, very refined assessments.

9 But I'd like to just remind the  
10 panel that the program is moving towards a -- in a  
11 way to use our resources efficiently that we're  
12 step-by-step starting to build, for lack of a  
13 better word, a tiered process where we start with  
14 a tier-one screening kind of thing and move  
15 incrementally to more and more refined assessments  
16 that look like what I described that are  
17 temporally-, spatially-, life stage-specific and  
18 get more and more refined in that sense. And so,  
19 as the panel thinks about asking us to add up more  
20 and more things, we would have to move away from  
21 those tier-one screening level assessments to more  
22 and more refined because you're not going to add

1 up the highest of the high, to the highest of the  
2 high, to the highest of the high in part because  
3 those things just don't kind of make sense. But  
4 as we think about the hazard for most of the  
5 phthalates that are going to be these shorter term  
6 durations related to either the development of  
7 through the PPAR alpha, through non-cancer liver  
8 effects, or through the changes in testosterone,  
9 all of which are relative short periods. And so,  
10 it becomes very complex when we think about adding  
11 up scenarios in that temporal situation.

12 So, I would just ask the panel as  
13 you think about writing up the things that we just  
14 talked about building -- our goal is to build a  
15 tiered process starting with a screening level.  
16 In most cases, if it passes at the screening  
17 level, we're not going to invest resources to get  
18 uber refined. We're going to refine to the extent  
19 that first we have the data to do. And right now,  
20 as many of you have acknowledged, the data in not  
21 only the phthalate space but the industrial  
22 chemical space on some of these very refined

1 behavioral parameters, monitoring data, et cetera,  
2 just don't exist. So, we can help us move our  
3 approach to get better and better, recognizing  
4 that there is a lot of data and methodology that  
5 needs to be advanced, and some of that lack of  
6 data and methodology will become apparent in the  
7 cumulative assessment.

8 So, I just wanted to sort of make  
9 sure that we're not setting up unrealistic  
10 expectations and maybe to add information to that  
11 conversation about how to help us do better,  
12 recognizing where the science is. So, that was my  
13 sort of plea or request.

14 **DR. GEORGE COBB:** I understand. I  
15 hope the Committee does too. I will say that the  
16 solution to this is, when these reviews come up,  
17 where there're data gaps, require the  
18 manufacturers to produce the data. And that  
19 solves the solves the data availability problem.  
20 Otherwise, you're stuck with high sentinel -- if  
21 you don't have data to model, you're stuck with  
22 high sentinel estimates, and that's just how risk

1 assessment works. And the refinement is to gather  
2 the data. And so, I understand you're stuck with  
3 the data that you have, but there's also the  
4 opportunity to obtain more. And I know that's  
5 getting into policy, so I hesitate to have that  
6 kind of conversation. But scientifically, that's  
7 what's needed.

8 **DR. ANNA LOWIT:** And I wouldn't  
9 disagree with that. But as the program begins to  
10 work sooner and use the pre-prioritization pieces  
11 of the process more effectively and efficiently,  
12 we'll have better opportunities to get those data  
13 because we'll be talking about the needing for  
14 those data years in advance.

15 **DR. GEORGE COBB:** Exactly.

16 **DR. ANNA LOWIT:** Because monitoring  
17 data takes a long time. Developing models, it  
18 just takes a long time.

19 **DR. GEORGE COBB:** Exactly.

20 **DR. ANNA LOWIT:** And some of these  
21 risk evaluations we're doing now, like the  
22 phthalates for example, we're in this position

1 where we have to do assessments with the data that  
2 exists today. Whereas, the ones we're going to do  
3 in the future, we're getting smarter about doing  
4 that earlier.

5 **DR. GEORGE COBB:** Yeah.

6 **DR. ANNA LOWIT:** Just putting in --

7 **DR. GEORGE COBB:** Exactly. And all  
8 of the responsibility is not necessarily on EPA.  
9 The organization can voluntarily provide  
10 information without you having to request it. So,  
11 thank you for that. I do appreciate that. I see  
12 some other hands up. Dr. Ottinger.

13 **DR. MARY OTTINGER:** Dr. Lowit, I  
14 really appreciate what you just said. Personally,  
15 my intent is more along the lines of trying to  
16 take advantage of literature that's already out  
17 there that can provide some additional information  
18 and enhance the use of sentinel species. The  
19 other point I would make is that, as time goes on,  
20 more exposomics kinds of approach is getting  
21 utilized in wildlife and eco kinds of applications  
22 that should actually go from, if you will, step

1 one to step at least six or ten in providing us  
2 more reliable measures, measurement endpoints,  
3 that can be utilized for hazard and risk  
4 evaluations. So, I really do appreciate what  
5 you've just said. Thank you.

6 **DR. GEORGE COBB:** And Dr. Chaisson.

7 **DR. CHRISTINE CHAISSON:** Dr. Lowit,  
8 your comments are very, very helpful and as well  
9 as the EPA staff attitude about accepting or  
10 listening to our commentary. One of the aims is  
11 to increase the power of the information that you  
12 do have. And one way to do that is through, if  
13 you will, some more powerful use of statistics,  
14 not just distributional and probabilistic kinds of  
15 things, but also the Bayesian. I'm not sure if  
16 the scientists there have access to people who can  
17 readily and efficiently and in a timely manner  
18 deal with compounded datasets and things like that  
19 that are required. But is it useful for you, for  
20 the institutional you, for us to keep dwelling or  
21 making that kind of recommendation if not being

1 able to be utilized immediately for your future  
2 work?

3 **DR. ANNA LOWIT:** Yeah, I'll respond  
4 to that, and then maybe it is time to move on.  
5 So, I appreciate that question, Dr. Chaisson.  
6 And, yes, it is because having section of the SACC  
7 report -- and this is just my personal view --  
8 having sections of the SACC report that talk about  
9 the importance of the intersection of hazard and  
10 exposure and the importance of having more refined  
11 information and more refined models and using  
12 things like omics and modern technologies is  
13 actually really important because then we can take  
14 that SACC report and use it as a dialogue and as a  
15 facilitation to our stakeholders who may have  
16 those data or have the capacity to collect some of  
17 that information. It helps us with that dialogue  
18 that we can talk about the value of that  
19 information and the extent to what the SACC  
20 impressed on us the importance of that.

21 So, yes, it is. I just would add to  
22 that the recognition that it may not be something

1 we can do tomorrow. If all of you have things  
2 that you think we can do better with what we have  
3 today, we love to hear that, but sometimes we're  
4 stuck with what we can do today today and what we  
5 can do tomorrow tomorrow. But next year, we may  
6 be able to do something different, as long as  
7 there is a recognition of that. So, I hope that  
8 helps.

9 **DR. CHRISTINE CHAISSON:** Very much  
10 so. And we'll try to put recognitions like that  
11 into context for you as well. Thank you.

12 **DR. GEORGE COBB:** Thank you for that  
13 excellent dialogue. So, now I'm still looking to  
14 see if anyone could help me if Dr. Reif has  
15 arrived.

16 All right. Again, at the risk of  
17 getting a little bit out of sequence, we're pretty  
18 far ahead of schedule. So, what I'd like to do  
19 now is take maybe a 15-minute break. Hopefully,  
20 Dr. Reif can get online. If not, maybe this time  
21 can be used for the evaluators -- or, excuse me,  
22 the associate discussants to prepare some comments



1 so we can get through Charge Question 2.b. So,  
2 Alaa, you're --

3 **DR. ALAA KAMEL:** Dr. Cobb, is it a  
4 suitable time? There was a further clarification  
5 from EPA regarding the comment that we were  
6 talking about earlier.

7 **DR. GEORGE COBB:** Oh, I thought  
8 that's what we were doing.

9 **DR. ALAA KAMEL:** Oh, okay.

10 **DR. GEORGE COBB:** If EPA has further  
11 comments, please. I see Dr. Morris is up.

12 **DR. JEFF MORRIS:** Yeah, Jeff Morris,  
13 EPA. Yeah, I just wanted to make sure that I  
14 interpreted one of the follow-on questions that I  
15 received this morning correctly. The question  
16 posed to me was, does EPA for these manufacturer  
17 requests have the ability to ask for additional  
18 information? And I replied, yes, there's nothing  
19 in the procedures for manufacturer requests that  
20 precludes us from using our data gathering  
21 authorities, which is perfectly correct under the  
22 rules we're now operating. So, any future

1 manufacturer requests, those authorities are  
2 compromised.

3 If the question though -- I don't  
4 think it was -- but if the question was for these  
5 two chemicals, could we use our authorities?  
6 Which I assume late in this process you're not  
7 suggesting that. But these two chemicals were  
8 brought in under old rules that we would interpret  
9 as not allowing us to actually ask for additional  
10 information through our authorities for these two  
11 chemicals. But I just wanted to clarify that  
12 because I wanted to make sure that I was answering  
13 the question in the spirit that the person asked  
14 me, which I think was going forward for the future  
15 of this program.

16 **DR. GEORGE COBB:** Yeah, that is an  
17 excellent clarification. And I understand perhaps  
18 rules changed a bit. And I understand that. But  
19 that's an excellent clarification about that  
20 dialogue.

21 **DR. JEFF MORRIS:** Yeah.

1                   **DR. GEORGE COBB:** So, are there  
2 other clarifying question from EPA about the  
3 things we've talked about this morning? Okay. If  
4 now, I really think we should take a 15-minute  
5 break now until half past the hour and hopefully  
6 get Dr. Reif connected in that timeframe.

7                   **DR. ALAA KAMEL:** Thank you.

8

9                                   **[BREAK]**

10

11                   **DR. GEORGE COBB:** So, welcome back.  
12 This is George Cobb, Chair of the Science Advisory  
13 Committee for Chemicals. And we have Dr. Reif  
14 back. I'm sorry you had your connectivity issues.  
15 We've all experienced that, maybe not as acutely  
16 as you just did. So, thank you for getting back  
17 aligned. And now if EPA can read in Charge  
18 Question 2.b for the DIDP assessment.

19                   **DR. ANTHONY LUZ:** You said 2.b?

20 This is Tony with EPA. It looks like 2.a is on  
21 the screen right now.

1                   **DR. GEORGE COBB:** Yes, it's 2.b.

2                   Yes, sir.

3                   **DR. ANTHONY LUZ:** Okay. Thank you.  
4                   Now I see 2.b. All right, so this is DIDP Charge  
5                   Question 2.b. "Fate and transport modeling  
6                   analyses indicate that when DIDP is released to  
7                   the environment it is expected to partition  
8                   primarily to soils and sediments; therefore, these  
9                   media are of high priority for environmental  
10                  exposure analyses. As described in Section 4 of  
11                  the Draft Environmental Hazard Assessment for  
12                  DIDP, no hazard data were identified for DIDP for  
13                  soil invertebrates. DINP was selected as an  
14                  analog for read across of soil invertebrate hazard  
15                  data as described in Appendix A of the Draft  
16                  Environmental Hazard Assessment for DIDP. Please  
17                  comment on the appropriateness of the methods used  
18                  to identify DINP as an analog for DIDP." Thank  
19                  you.

20                  **DR. GEORGE COBB:** Thank you for  
21                  getting that into the record for us. And now

1 we'll turn it to Dr. Reif and then after that to  
2 the other discussants.

3 **DR. DAVID REIF:** Yes, thank you.

4 So, my machine did this to me earlier this week  
5 when I turned my camera on Zoom. It just died.  
6 So, instead of fixing it, I just hoped everything  
7 would be great, which is not scientific. So, I  
8 apologize for the waste of the Committee's time on  
9 that. I will say, I'm going to read a document  
10 from a different computer, and I ask the associate  
11 discussants and Dr. Cobb to chime in because I had  
12 received input from everybody. And just in case  
13 this version that I'm reading hasn't synced all of  
14 the consolidated comments that were made, please,  
15 associate discussants, pipe up and add them in  
16 here. But I think it's mostly correct, mostly  
17 complete.

18 So, general comments for Question  
19 2.b. The EPA appropriately selected DINP as a  
20 suitable analog for DIDP based on very similar  
21 structural chemical and physical characteristics  
22 between the two compounds and comparable

1 environmental fate and transport. The use of NAMs  
2 for comparison of structural and chemical  
3 characteristics identified a number of analogs.  
4 The further screening of analog candidates to  
5 those of physical properties of log KOW and log  
6 KOC that were within one log unit relative to DIDP  
7 refined the list of potential analogs, of which  
8 two were DINP, or approximately one-third of the  
9 possible choices. So, finally, DINP was selected  
10 as the appropriate analog largely based on the  
11 available data for DINP from previous literature  
12 identification, data extraction, and risk bias  
13 assessment from toxicity studies. This approach  
14 seems reasonable and is appropriate for protection  
15 of terrestrial invertebrate health given the DINP  
16 was a phthalate for which environmental hazard  
17 assessment was conducted, and risk assessment is  
18 ongoing. In my opinion, the EPA addressed the  
19 potential concern with using DINP as an analog  
20 where both are outside the domain of applicability  
21 of ecotoxicity predictions due to high octanol-  
22 water partition coefficients and by not

1 supplementing empirical hazard data with predicted  
2 data.

3           Since DINP was determined to be a  
4 good analog for DIDP, why were other read across  
5 data from DINP or other analogs not considered for  
6 other species in the environmental assessment that  
7 lacked empirical data such as avions or plants?  
8 In a couple detailed points on the Draft Hazard  
9 Assessment, both having to do with the confidence  
10 assessment, on Line 317 it stated that EPA has  
11 robust confidence that DIDP poses no hazards. But  
12 the screening level assessment was based only on  
13 one study from an analog chemical. So,  
14 questioning the sort of robust versus slight  
15 confidence for this assignment. And relatedly, on  
16 Line 399 in the hazard assessment for DIDP, the  
17 same confidence is then listed as moderate rather  
18 than robust. So, just wanted to point that out in  
19 case a continuity or editing error and actually  
20 was meant to reduce the original rating, or if  
21 that was a scientific assessment. And, yeah,  
22 that's the consolidated info that I have.

1                   **DR. GEORGE COBB:** All right. So,  
2                   thank you for that. And let's go to our associate  
3                   discussants. And we'll begin with Dr. Shuman-  
4                   Goodier.

5                   **DR. MOLLY SHUMAN-GOODIER:** Thank  
6                   you. My comments are in there. Thank you, Dr.  
7                   Reif, for the comment.

8                   **DR. GEORGE COBB:** Great. Thank you.  
9                   Dr. David.

10                  **DR. RAYMOND DAVID:** No further  
11                  comments.

12                  **DR. GEORGE COBB:** Dr. Li.

13                  **DR. LI LI:** No further comments.  
14                  Thanks.

15                  **DR. GEORGE COBB:** Yes. And, Dr. Li,  
16                  I need to apologize to you. We were trying to  
17                  align some stuff while we didn't know if Dr. Reif  
18                  was going to be back, and I omitted your name.  
19                  And I apologize, from those emails. Dr.  
20                  Howdeshell.

21                  **DR. KEMBRA HOWDESHELL:** No further  
22                  comments.



1                   **DR. GEORGE COBB:** That was pretty  
2 succinct, and I appreciate the comments. I did  
3 have a question, and perhaps this is just some of  
4 my naïveté. On the high octanol-water partition  
5 coefficient or high KOW, KD values, am I hearing  
6 correctly that when you get to a certain threshold  
7 that the read across types of data may not be  
8 appropriate? Is that what I'm hearing?

9                   **DR. DAVID REIF:** This comment in  
10 particular was related to the use of ECOSAR.

11                   **DR. GEORGE COBB:** Okay, ECOSAR.

12                   **DR. DAVID REIF:** Which does fall  
13 outside the bounds. I don't know the answer why  
14 the GenRA from EPA wasn't able to contribute in  
15 this case. That was cited in the document as  
16 well. But there are many, many reasons for that,  
17 and I'm not sure if it was the same root cause.

18                   **DR. GEORGE COBB:** But that comment  
19 was related to ECOSAR not (inaudible).

20                   **DR. DAVID REIF:** That comment was  
21 related to ECOSAR. And as they cited in the

1 report, they call out ECOSAR there in particular.  
2 But I can adjust that in my comments.

3 **DR. GEORGE COBB:** And, sorry, I  
4 misspoke. I was simply curious. But that is in  
5 essence saying that you really need empirical data  
6 rather than trying to read across from other tox  
7 values. Am I capturing that correctly?

8 **DR. DAVID REIF:** Well, I think in  
9 this case ECOSAR could've provided additional  
10 predictions. And instead of adding additional  
11 predictions that weren't empirically based, they  
12 used the read across empirical values instead,  
13 instead of augmenting them with additional  
14 predictions.

15 **DR. GEORGE COBB:** Thank you. I  
16 appreciate that. That's good explanation. So,  
17 back to the rest of the Committee then. Are there  
18 additional comments related to the fate and  
19 transport of DIDP? I see Dr. Chaisson. Are you -  
20 -

21 **DR. CHRISTINE CHAISSON:** Yeah, I'm  
22 trying to find a little hand. Thank you, Dr.

1 Cobb. As you well know, ecology is way outside my  
2 wheelhouse, but I just have a curiosity. I've  
3 looked at this -- when I ask this question, you'll  
4 see how far out of my wheelhouse I am. Just  
5 curious about why we don't see markers, if you  
6 will, or representative organisms that are avian.  
7 And I keep thinking back to like the DDT times,  
8 where you had effects on the eggs that was  
9 eggshell thinning. But since this is also so  
10 lipophilic, it would seem to me that that might be  
11 relevant. Can somebody just explain to me why if  
12 aquatic animals, terrestrial animals, but no avian  
13 species there considered?

14 **DR. DAVID REIF:** I see Dr.  
15 Ottinger's hand, and I hope that she has a better  
16 answer than I was going to offer for this.

17 **DR. MARY OTTINGER:** I don't know if  
18 it's better, but for what it's worth. The short  
19 answer, Dr. Chaisson, is that measuring endpoints  
20 in field birds is difficult. And there are some  
21 measures that have been used traditionally, but  
22 usually it's more like lethality. And that's why

1 I made the suggestion earlier that as exposomics  
2 get more refined and we have the molecular tools  
3 which we have not had for birds because there's  
4 species differences, as well as differences in a  
5 lot of the -- they're unique in a lot of ways,  
6 hollow bones, air sacs, migration. I can send you  
7 a review paper that goes through that. But  
8 they're very unique. And because of that, it  
9 makes it very difficult to align the data from  
10 some of the mammalian species to birds, even if  
11 they're terrestrial mammals because there're going  
12 to be differences in the age receptor responses  
13 and all sorts of other things. And the whole HPG  
14 access, although very similar to mammals, has  
15 different kinds of unique characteristics.

16 So, the bottom line is the lack of  
17 tools and then being able to accept, which is in  
18 the literature that I've been collecting to add to  
19 this report, the use of domestic species,  
20 primarily the chicken egg, domestic chicken egg,  
21 and Japanese quail that have been used to a great  
22 extent in laboratory studies. Which then,

1 although not directly transferrable to wild  
2 species, at least gives you an indication from a  
3 sentinel, if you will, kind of -- or a test  
4 species kind of perspective. Does that help?

5 **DR. CHRISTINE CHAISSON:** Yes, very  
6 much so. Up until your explanation, I assumed  
7 that there was some reason why it should be  
8 excluded, not that, you know, just too hard to  
9 include, I think is what you're saying.

10 **DR. MARY OTTINGER:** The issue is not  
11 to exclude it. The issue is more to have reliable  
12 datasets that at least give you an indication of  
13 what might be the hazard and then, consequently,  
14 the risk to birds. And some of that then circles  
15 back to multiple ongoing studies. There's a very  
16 large study in the Gulf of Mexico currently that  
17 is actually going to put real measurement  
18 endpoints together with management practices and  
19 all sorts of other things that are, again, beyond  
20 the scope of this review. But we're at the cusp  
21 of having data and information and capabilities to  
22 at least get some sentinel species assessments

1 that will give us confidence that, yes, we can  
2 translate from mammal or, no, we can't.

3 **DR. CHRISTINE CHAISSON:** Can I ask a  
4 follow-up on that same sort of theme? Are the  
5 chemical, physical properties of chemicals like  
6 the phthalates concordant with the properties that  
7 you've seen historically with other chemicals that  
8 did in fact have adverse effects on avian species  
9 or that would affect -- would sort of  
10 bioaccumulate in eggs or have any of those kinds  
11 of effects just sort of historical reference?

12 **DR. MARY OTTINGER:** Are you talking  
13 about phthalates, or are you talking --

14 **DR. CHRISTINE CHAISSON:** Yeah,  
15 phthalates.

16 **DR. MARY OTTINGER:** Phthalates.

17 **DR. CHRISTINE CHAISSON:** Yeah.

18 **DR. MARY OTTINGER:** I've been able  
19 to find a bunch of papers that have to do with  
20 various phthalates, but it's more DHEP, which then  
21 brings it into the endocrine disruptor arena. And  
22 that then brings up a whole different set of

1 questions about comparability and mechanisms of  
2 action and all that.

3 But back to the DDT example. The  
4 mechanisms involved interacting with the receptors  
5 and all that are going to be similar if not  
6 identical to that in mammals. The question is the  
7 output. What effect does it have? So, egg  
8 thinning with DDT versus perhaps uterine effects  
9 because the shell gland is equivalent to the  
10 uterus in mammals so to speak. So, we can drill  
11 down on this, and I'll send you a few review  
12 papers, Dr. Chaisson, if you want to.

13 **DR. CHRISTINE CHAISSON:** Yes, thank  
14 you.

15 **DR. MARY OTTINGER:** There are unique  
16 characteristics that need to be considered that  
17 make it very difficult to do a broad stroke kind  
18 of answer, and so that's why I was suggesting  
19 taking advantage of the available literature  
20 that's there, at least to get a bit of a hint as  
21 to what might be going on.

1                   **DR. CHRISTINE CHAISSON:** Well, I  
2                   won't take up any more time, and I appreciate this  
3                   sort of one-on-one on this. But I might not be  
4                   the only non-ecologist out there who is -- where  
5                   this looks strange. So, maybe it would be good  
6                   for an explanation to the public as to a brief  
7                   explanation as to why that seem -- it just looks  
8                   like a hole to me. So, maybe other people would  
9                   appreciate having an explanation from EPA. So,  
10                  maybe you can --

11                  **DR. DAVID REIF:** I agree for the  
12                  write-up. But I think the comment in there about  
13                  why it didn't have avian data, I don't know that  
14                  knowledge that Dr. Ottinger just offered there.  
15                  So, I think that's something I need to include in  
16                  the final write-up.

17                  **DR. MARY OTTINGER:** Dr. Reif, I'm  
18                  happy to provide a few sentences and some  
19                  references.

20                  **DR. DAVID REIF:** Yeah, thank you.

21                  **DR. MARY OTTINGER:** And that'll fill  
22                  in some of the gaps. It's a hole, but it's not a



1 hole that is anyone's fault. It's just the state  
2 of the art more than anything else.

3 **DR. GEORGE COBB:** Yeah. Thank you.  
4 Dr. David, we'll get to your comment in just a  
5 second. I wanted to follow up on the specific  
6 question Dr. Chaisson asked. The biodegradation  
7 of these phthalates, DIDP included and DINP, are  
8 much faster than the biodegradation of DDT. It  
9 turns out that the first couple transformation  
10 products of DDT are still relatively equally toxic  
11 for eggshell thinning, but once you do a  
12 hydrolysis or some oxidations of the phthalates,  
13 they're not nearly as toxic. So, there's a  
14 bioaccumulation factor, and there's also a  
15 residual toxicity factor that make these  
16 phthalates much less potent, in my estimation,  
17 than DDT would be. And saw that in the  
18 bioaccumulation factor data that the Agency  
19 brought up yesterday I believe it was. So, Dr.  
20 David, you had a comment.

21 **DR. RAYMOND DAVID:** Well, I just  
22 wanted to add to what Dr. Ottinger had said, and

1 this is from the perspective of the regulatory  
2 requirements for registration of a substance. The  
3 avian reproduction in which eggshell thinning is  
4 measured is typically applied -- that study is  
5 typically requested -- and Dr. Fenner-Crisp can  
6 correct me -- for pesticides but not necessarily  
7 for industrial chemicals in the U.S., unless there  
8 is a perceived potential for a problem. In Europe  
9 and of course with REACH, they have a different  
10 approach in which that test may be required for  
11 very high production volume substances regardless  
12 of their uses. As I recall -- and I was just  
13 trying to do a quick search through some of my  
14 files -- I think some of the phthalate esters were  
15 tested way back when, and so I'm talking about the  
16 '70s and '80s. And I don't recall any of them  
17 demonstrating a problem with regard to eggshell  
18 thinning. But I would have to go back and double  
19 check what at least I have in my files.

20 **DR. GEORGE COBB:** Thank you for that  
21 comment, Dr. David. Dr. Ottinger.

1                   **DR. MARY OTTINGER:** I believe, Dr.  
2 David, you are absolutely correct. And, in fact,  
3 that's another one of those very far downstream  
4 kinds of measures. For something to affect  
5 eggshell thinning, you have to engage the  
6 estradiol receptors and everything else, as well  
7 as alter the lipid metabolism. So, for a  
8 significant effect on that, it's going to be very,  
9 very significantly affecting the animal.

10                   I believe that as we get more  
11 targeted and sophisticated methods that allow us  
12 to actually ask specifically for a chemical  
13 mechanism of action that will enable us to  
14 directly ask what kinds of things are happening.  
15 Acetylcholinesterase has been used by wildlife  
16 biologists in the field for the POPs and that sort  
17 of measure just because they persist. And so,  
18 there're traditional measure that have been used  
19 for birds, but they're not particularly insightful  
20 in terms of actually giving us an idea of how much  
21 effect there may be on those populations and on  
22 those individuals. There's more and more

1 monitoring data coming out. And the study that I  
2 mentioned at the Gulf of Mexico will integrate  
3 together the toxics with measures that are used on  
4 field species.

5 So, I guess what I'm saying is we  
6 have to be a little patient and use the data that  
7 are available right now from egg injection studies  
8 and feeding studies in more laboratory kinds of  
9 settings. But I agree with what you're saying,  
10 and I'm sure there's studies like that. Thank  
11 you.

12 **DR. GEORGE COBB:** So, Dr. Fenner-  
13 Crisp.

14 **DR. PENELOPE FENNER-CRISP:** I just  
15 wanted to confirm that Dr. David was correct.  
16 Yes, the avian study is a fairly standard  
17 requirement in the pesticide regulatory process,  
18 part of Part 158. But my question would be, if  
19 there data existed in companies' files for non-  
20 pesticides, why haven't the sponsors submitted  
21 them to the Agency?

1                   **DR. GEORGE COBB:** Maybe that's a  
2 question that we can visit with EPA in a second.  
3 And thank you for that comment and clarification -  
4 - or confirmation of Dr. David's comment. Dr.  
5 David, you have additional comment?

6                   **DR. RAYMOND DAVID:** Well, just a  
7 follow-up to Penny's question. And the EPA  
8 certainly has access to the TSCA 8(e) submissions.  
9 So, had there been a study like that that was not  
10 relative to a pesticide submission because those  
11 data are confidential, but under TSCA anything  
12 that identified a hazard, ecological hazard or  
13 human hazard, would have to be submitted under  
14 Section 8(e). And so, it would be in the files.  
15 And I'm sure the Agency did a search of their own  
16 files for that.

17                   **DR. PENELOPE FENNER-CRISP:** Well,  
18 the other thing you mentioned is REACH. That's  
19 another data source that one could look at.  
20 Because even though REACH data are CBI and the  
21 Agency can't get them without permission, they can

1 go into the REACH database and look at the data  
2 summaries that ECHA has.

3 **DR. RAYMOND DAVID:** That's correct.  
4 Those are publicly available. You're right.

5 **DR. PENELOPE FENNER-CRISP:** Those  
6 are publicly available.

7 **DR. RAYMOND DAVID:** Yes. The  
8 summaries, yes. Sorry.

9 **DR. GEORGE COBB:** Yeah. Okay. Good  
10 discussion. DR. Reif, do you feel like you have  
11 adequately captured this? And please do send any  
12 comments you have relative to this discussion too,  
13 Dr. Reif, for inclusion.

14 **DR. DAVID REIF:** Yes, I will. Thank  
15 you. And I have an email thread already started  
16 from Kembra et al.

17 **DR. GEORGE COBB:** Okay. Thank you.  
18 And thanks, everyone. So, now we'll turn it to  
19 the EPA to see if you have clarifying questions or  
20 comments.

21 **DR. ANTHONY LUZ:** This is Tony Luz  
22 with EPA. No clarifying questions or comments.

1                   **DR. GEORGE COBB:** Okay. Well, thank  
2 you. And let's see. We did Charge Question 2.b,  
3 and we also did the discussion of our risk  
4 evaluations for DIDP. So, at this point, I would  
5 like to circle back to the Committee one final  
6 time for DIDP and see if there're any comments  
7 that have not been captured for DIDP. Like to get  
8 them all on the record before we go on to DINP.

9  
10                   **DINP HAZARD ASSESSMENTS**

11                   **1. ECOLOGICAL HAZARD**

12                   **CHARGE QUESTION 1.a**

13  
14                   **DR. GEORGE COBB:** Okay. So, we can  
15 now move into the DINP charge questions. We'll  
16 start with the hazard assessment in the ecological  
17 hazards with Charge Question 1.a. I guess it's  
18 Dr. Luz.

19                   **DR. ANTHONY LUZ:** Thank you, Dr.  
20 Cobb. Tony Luz here with EPA. I will now read  
21 DINP Charge Question 1.a. So, "As described in  
22 Section 4 of the Draft Environmental Hazard

1 Assessment for DINP, EPA had limited empirical  
2 toxicity data available for terrestrial mammals  
3 and therefore relied on data from controlled  
4 laboratory animal studies using human health  
5 animal models to derive a toxicity reference  
6 value," or TRV, "to evaluate risk from chronic  
7 dietary exposure to DINP. Please comment on the  
8 strengths and weaknesses of the methodology and  
9 data used to derive a toxicity reference value,"  
10 or TRV, "for DINP." Thank you.

11 **DR. GEORGE COBB:** All right. Thank  
12 you, Dr. Luz. And our lead discussant for this is  
13 Dr. Ottinger.

14 **DR. MARY OTTINGER:** First, I'd like  
15 to thank the associate discussants for excellent  
16 input. And I will read into the record our  
17 comments.

18 General comments. There were high  
19 and medium data quality assessed for 32  
20 publications that include sediment, aquatic and  
21 terrestrial habitats. The TRV was 139 milligrams  
22 per kilogram body weight per day. That was



1 derived from exposure effects on a generalized  
2 terrestrial mammal, with one earthworm study used.  
3 No effects on organism survival and fitness were  
4 documented. However, there was an increase in the  
5 number of juveniles of the earth -- or in the  
6 earthworm study. Predicting wildlife toxicity  
7 using test results from an experimental model of  
8 mammalian toxicity that supports human health is a  
9 reasonable alternative if data are unavailable for  
10 species of wildlife. Species inbreeding,  
11 selective breeding for specific sensitivity, and  
12 non-representativeness of wild strains are the  
13 primary argument against using animals that are  
14 normally used for modelling human effects.  
15 Regardless, using them is better than having no  
16 data at all. If there are concerns that species  
17 are not representative with an uncertainty factor  
18 of three could be used as protective measure. The  
19 alternative is to perform the toxicity test with  
20 species or in vitro tests that are more  
21 representative of wildlife and that could fill the  
22 current data gap. And I would also add to that

1 from our discussion just preceding this that also  
2 adding in literature that is available.

3 The strengths are it appears  
4 appropriate to use the mammalian studies to  
5 develop the TRV, and arrival at the resulting  
6 value was explained well. And this is on Pages  
7 23, 24, Lines 485 to 517. Additional strengths  
8 were that EPA utilized offspring bodyweight data  
9 from in utero DINP rodent studies and bodyweight  
10 growth data from adult animals to define TRVs for  
11 terrestrial mammals. Survival data were also  
12 evaluated, and the quality of the data used to  
13 assess these endpoints was significant. EPA  
14 identified seven reproduction bodyweight studies,  
15 eight studies of growth in adult bodyweight, and  
16 three survival studies. The reproduction studies  
17 range in exposure, duration, and age to include in  
18 utero, early postnatal, and one two-generation  
19 study. Table 4.1 in the Draft Environmental  
20 Hazard Assessment for DINP is much clearer than  
21 the similar table for the DIDP Environmental  
22 Hazard Assessment. As with DIDP, I believe EPA --

1 this Committee member believes that EPA's choice  
2 to use human health models, meaning rodents, is  
3 logical and justified given the lack of available  
4 data for ecological relevant terrestrial species.  
5 And as mentioned earlier, the rodent studies were  
6 high and medium quality, 12 studies, and used  
7 apical endpoints that were appropriate.

8           There are some weaknesses. No  
9 terrestrial plant studies were available to assess  
10 potential hazards in DINP. This would indicate  
11 that soils and sediments should be considered high  
12 priority for environmental exposure analyses and  
13 should be considered by the Agency. The Draft  
14 Fate Assessment for DINP indicates that there's an  
15 affinity for sorption to soil and its organic  
16 constituents. Given these properties, there's an  
17 indication, likelihood of strong sorption to  
18 organic carbon present in soil, and, therefore,  
19 DINP is expected to have low mobility in soil  
20 environments. However, because no terrestrial  
21 plant studies were available to assess the hazards  
22 from DINP exposure, that could not be concluded.

1           The question becomes, how can one be  
2           sure that earthworms are the most sensitive  
3           terrestrial species? And why inhibition of fetal  
4           testosterone production in rats presents it is not  
5           a definitive hazard? There are lower-effect doses  
6           for androgen insufficiency than for bodyweight and  
7           growth. Therefore, the TRV derived here is a  
8           higher dose than the human reference dose based on  
9           androgen insufficiency, and it's reasonable to  
10          assume that the endpoint is applicable to  
11          terrestrial mammals in general in addition to  
12          human health.

13           And I would note here that we may  
14          want to do some comparisons with Charge Question  
15          2.a later on because there are some areas that are  
16          similar in terms of what we're considering.

17           The report does not specify why the  
18          confidence in terrestrial mammal hazard is only  
19          moderate. Also, the report doesn't specify which  
20          value was used to set the TRV. It appears to be  
21          the NOAEL for bodyweight in the two-gen study by  
22          Exxon Biomedical in 1996. However, five of the

1 studies considered had low NOAEL values. No  
2 exclusion criteria are listed, so it's unclear why  
3 the lowest NOAEL was not selected. Also, the  
4 value of 139 milligrams per kilogram per day does  
5 not match one of the tested doses listed in the  
6 table for the Exxon study.

7 There's a minor weakness with  
8 respect to the report in terms of formatting, and  
9 that pertains to the reproduction studies  
10 separately identified by term reproduction but not  
11 referred to in the same language under the mammals  
12 heading. And that's more of an editorial comment.

13 There is significant variability in  
14 the LOAEL and NOAEL values from the 12 high-,  
15 medium-rated rodent studies used to derive the  
16 TRV. This variability does not appear to be  
17 accounted for with the current derivation process.  
18 As such, EPA might consider calculating 95% CIs  
19 around the geometric mean of the NOAELs and using  
20 the lower 95% CI as the TRV, using generic  
21 assessment factors as would be done to derive COCs  
22 for the aquatic hazard assessments, or adding a

1 description of uncertainty that is not address in  
2 the TRV derivation process.

3 As for other recommendations,  
4 specific recommendations, we would recommend:  
5 discuss the distribution of DINP in the  
6 environment, likely exposure related to distance  
7 from point source in the half-life of DINP in the  
8 environment. Discuss the relevance in  
9 transferability of laboratory studies on rat or  
10 mouse on a TRV for wildlife, specifically small  
11 mammals for deriving TRV for DINP. Will the  
12 studies provide transferable information about  
13 other terrestrial wildlife such as birds and  
14 reptiles? Add discussion related to the effects  
15 of environmental conditions on the half-life of  
16 DINP. What is the likely scenario for exposures  
17 and likely spread, meaning through air, water, and  
18 sediment? Many of the studies demonstrate general  
19 as well as reproductive effects, including lower  
20 maternal and offspring postnatal bodyweights.  
21 Describe how this might translate into hazard and  
22 risk assessments for terrestrial wildlife,

1 including mammals and birds. And the study on  
2 earthworms demonstrate no effects on mortality in  
3 adults; however, there was an effect on the number  
4 of juveniles, as mentioned earlier. Discuss what  
5 this means for the population over shot and long  
6 term, bringing into focus potential effects on the  
7 food web. And, finally, generate plant toxicity  
8 data to determine that plants are not the most  
9 sensitive terrestrial species since no toxicity  
10 studies on avian or terrestrial plants species  
11 were identified.

12 There're a number of specific  
13 comments that I will provide to the EPA in  
14 writing. And we have a number of references that  
15 we will also be providing. Thank you.

16 **DR. GEORGE COBB:** Thank you, Dr.  
17 Ottinger for that excellent summary. So, now  
18 let's turn to the associate discussants. Dr.  
19 Shuman-Goodier.

20 **DR. MOLLY SHUMAN-GOODIER:** Thank  
21 you. No further comment.

22 **DR. GEORGE COBB:** Dr. Spade.

1                   **DR. DANIEL SPADE:** I have no further  
2 comment. Thank you for the summary, Dr. Ottinger.

3                   **DR. GEORGE COBB:** Dr. Graham.

4                   **DR. CYNTHIA GRAHAM:** Okay.

5                   **DR. GEORGE COBB:** You are mute --

6                   **DR. CYNTHIA GRAHAM:** Yes. All of my  
7 comments were included. Thank you.

8                   **DR. GEORGE COBB:** Thank you. Dr.  
9 Jenkins.

10                   **MS. ALLISON JENKINS:** No further  
11 comments. Thank you.

12                   **DR. GEORGE COBB:** Okay. So, we have  
13 1.a out of the way. And now we can turn back to  
14 EPA.

15                   **DR. MARY OTTINGER:** General --

16                   **DR. ALAA KAMEL:** Do you want to ask  
17 the rest of the Committee also?

18                   **DR. GEORGE COBB:** Oh, yes, yes, yes.  
19 I'm sorry. My computer was telling me to unmute  
20 myself and had my screen all messed up. Sorry  
21 about that. Thank you for the recalibration there  
22 Alaa. Let's hear from the rest of the Committee.



1 Are there comments from the rest of the Committee  
2 on this charge question? Okay. Have none. So,  
3 now we can turn to EPA to see if there are any  
4 clarifying questions.

5 **DR. ANTHONY LUZ:** This is Tony Luz  
6 with EPA. No clarifying questions at this time.  
7 Thank you.

8

9

## 2. HUMAN HEALTH HAZARD

10

### CHARGE QUESTION 2.a

11

12

**DR. GEORGE COBB:** All right. So,  
13 now we can move to Charge Question 2.b.

14

15

**DR. ANTHONY LUZ:** This is Tony with  
16 EPA. Think it's 2.a. We're actually now  
switching gears --

17

**DR. GEORGE COBB:** Correct. Correct.  
18 My fault.

19

20

**DR. ANTHONY LUZ:** No problem, Dr.  
21 Cobb. Yeah. So, this is Charge Question 2.a for  
DINP, so we're switching gears from environmental  
22 hazard to human health hazard.

1                   So, "In Sections 4.1.1 and 4.1.2 of  
2 the Draft Non-Cancer Human Health Hazard  
3 Assessment for DINP, EPA has preliminarily  
4 selected the HED of 12 milligrams per kilogram per  
5 day," so BMDL5 of 49 milligrams per kilogram per  
6 day, "based on decreased fetal testicular  
7 testosterone production for assessing risks from  
8 acute and intermediate duration exposure to DINP.  
9 EPA is using benchmark dose," or DMD, "estimates  
10 calculated by the National Academies of Sciences,  
11 Engineering and Medicine, NASEM, 2017. Please  
12 comment on the strengths and uncertainties in the  
13 selected acute slash intermediate HED, including  
14 its appropriateness for these durations." Thank  
15 you.

16                   **DR. GEORGE COBB:** Thank you. So,  
17 let's move to our discussants. Our lead  
18 discussant here is Dr. Spade.

19                   **DR. DANIEL SPADE:** Yeah. Thank you.  
20 And thanks to all the associate discussants who  
21 had a lot of comments on this charge question.  
22 So, I'll read our response. In the Draft Hazard

1 Assessment for DINP, EPA derived points of  
2 departure in corresponding human equivalent doses  
3 for DINP from the benchmark dose analysis  
4 conducted by NASEM in 2017. The discussants  
5 agreed with the scientific justification to use  
6 developmental toxicity studies, specifically fetal  
7 testicular testosterone production data to  
8 determine HED for both acute and intermediate  
9 duration points of departure, and also enumerated  
10 a number of sources of uncertainty.

11 The strengths of the approach  
12 include the use of DINP developmental toxicity  
13 studies to derive the acute POD and HED. The  
14 endpoint used in the Draft Hazard Assessment is  
15 inhibition of testosterone production in the fetal  
16 rat testis, which is a rapid response sensitive to  
17 reduction by single-dose phthalate exposure  
18 consistent with an acute mode of action. It is  
19 appropriate to use data from developmental  
20 toxicity studies when deriving toxicity values for  
21 acute exposure in accordance with EPA policies

1 described on Page 70 of the Draft Hazard  
2 Assessment.

3 Two recent publications from Earl  
4 Gray and colleagues from 2023 and 2024 -- and we  
5 will insert the references in our written report -  
6 - support the conclusion that reduction of fetal  
7 testosterone production by DINP can cause male  
8 reproductive tract malformations, which are the  
9 apical outcome associated with this mode of  
10 action, and that DINP exerts dose additive  
11 antiandrogenic action when combined with another  
12 antiandrogenic phthalate, which in the case of the  
13 2024 publication was dibutyl phthalate. The  
14 discussants agreed that the selection of the same  
15 POD for short- and intermediate-term toxicity is  
16 reasonable given that fetal testicular  
17 testosterone production was the most sensitive  
18 endpoint over any duration in the studies that  
19 were included in the NASEM analysis.

20 Although the duration of exposure in  
21 days in the developmental studies is shorter than  
22 what might typically be considered intermediate

1 duration, it could be argued that the time period  
2 modeled by these exposures, so from gestation day  
3 six to parturition in rats, is equivalent to an  
4 intermediate exposure duration in comparison to  
5 human gestation time. In other words, for  
6 developmental endpoints, the duration of exposure  
7 in the animal should be scaled to the timing of  
8 development rather than an arbitrary duration of  
9 exposure such as 30 days. The discussants agreed  
10 that the intermediate duration POD was  
11 appropriately selected for DINP.

12 The reviewers largely agreed that  
13 the interspecies uncertainty factor of three is  
14 consistent with non-toxicokinetic similarity  
15 between rats and humans for phthalates while  
16 accounting for uncertainties about toxicodynamic  
17 similarity. However, the discussants request that  
18 EPA provide more detail in writing about the  
19 justifications for the selected uncertainty  
20 factors. Notably, there is uncertainty about  
21 toxicodynamic similarity across species based on  
22 phthalate experiments conducted in human fetal

1 tissues, xenograft, or culture models. Those  
2 experiments indicated that at least under certain  
3 circumstances human fetal testis tissue is less  
4 sensitive to the antiandrogenic effects of  
5 phthalates than rat fetal testis. And we will  
6 enter references into the written report.

7 This introduces uncertainty with  
8 respect to sensitivity of the human fetal testis  
9 to phthalate-induced testosterone reduction.  
10 Although, phthalates cause germ cell toxicity in  
11 all species that have been tested, we believe that  
12 this source of uncertainty was addressed by EPA in  
13 Section 3.1.4.1 on Pages 77 to 79 of the Draft  
14 Proposed Approach for Cumulative Risk Assessment  
15 of High-Priority Phthalates and a Manufacturer  
16 Requested Phthalate under TSCA in February 2023.  
17 However, we request that the EPA clarify that  
18 rationale for selection of the interspecies  
19 uncertainty factor A of three, which may account  
20 for a toxicokinetic similarity between species  
21 allometric scaling to determine human equivalent  
22 dose and/or knowledge of toxicodynamic differences

1 between species. In particular, the text note in  
2 Table 4-2 should be included in the text of the  
3 report on Page 72, Line 2446. Adequate  
4 justification is not provided in the text of this  
5 report for the selection of the uncertainty  
6 factors as it was provided in the assessment of  
7 DIDP.

8           There were several additional  
9 sources of uncertainty that were identified by the  
10 discussants. A first concern which was expressed  
11 by multiple discussants was that EPA relied on the  
12 2017 NASEM benchmark dose analysis rather than  
13 conducting a new analysis. Adopting the NASEM  
14 analysis means that some decisions made by NASEM  
15 may introduce uncertainty, and those include --  
16 there's sort of a long list here. But it is  
17 unclear whether EPA attempted to replicate the BMD  
18 modeling and whether the results were confirmed.  
19 There was no justification provided for the use of  
20 BMDL values instead of BMD values for determining  
21 human equivalent dose. And the rationale for the  
22 use of only BMDL5 and BMDL40 values that

1 correspond to a benchmark response of either 5  
2 percent or 40 percent was unclear.

3 The 2012 EPA benchmark dose guidance  
4 document states that for quantile data an extra  
5 risk of ten percent is the BMDR for standard  
6 reporting. Further BMD5 is considered  
7 biologically relevant for nested data, which may  
8 be available for developmental endpoints such as  
9 reproductive tract malformations. The guidance  
10 also says that for continuous data the preferred  
11 approach is to define a BMR based on the level of  
12 change in the endpoint at which the effect the  
13 endpoint is considered to become biologically  
14 significant. There is limited discussion in this  
15 report as to why the BMDL5 was chosen over the  
16 BMDL40 despite a biological reasoning being  
17 provided for why the initial NASEM meta-regression  
18 conducted the analysis with a benchmark response  
19 of 40 percent. And that's in the legend of Table  
20 4-1 but not in the text. The BMD guidance also  
21 does recommend that values other than BMD10 are  
22 used in the hazard assessment. The BMD10 numbers



1 for the selected endpoints should be given in the  
2 document for comparison.

3 A second concern identified by the  
4 discussants related to the inclusion or exclusion  
5 of data in the NASEM benchmark dose analysis  
6 because the inclusion and exclusion decisions are  
7 reproduced here by default. It is unclear if EPA  
8 conducted a literature search that resulted in  
9 identification of any relevant DINP studies other  
10 than acute developmental studies. And there's a  
11 lack of clarity about the justification of  
12 exclusion of studies. So, specifically, the 2017  
13 NASEM analysis reports that four DINP studies were  
14 considered; however, only two -- Boberg et al.,  
15 2011, and Hanna et al., 2011 -- were included in  
16 the analysis. EPA states that they have high  
17 confidence the NASEM meta-analysis because it  
18 considers data from multiple sources, but it  
19 appears that only two studies were actually used  
20 in the meta-regression analysis. There's also a  
21 written discrepancy about which two studies were  
22 included, and we can detail that in a written

1 report. EPA also mentions one acceptable study,  
2 Clewell et al., 2013, that was not included in the  
3 NASEM 2017 study. There was discussion of the  
4 justification for excluding single-dose studies,  
5 which would be reasonable if each study was being  
6 considered in isolation to identify NOAEL or LOAEL  
7 values, but it seems that data points contained in  
8 the single-dose studies could strengthen modeling  
9 estimates if a new meta-analysis was performed.  
10 And, finally, there may be new studies available  
11 that would strengthen a new analysis, so the  
12 discussants noted that there were two recent  
13 publications from Earl Gray and colleagues, which  
14 have been mentioned, which could be included in a  
15 new analysis.

16 A third and related concern that was  
17 raised was the lack of consideration of human  
18 epidemiological studies in the DINP dose response  
19 assessment. So, this is listed separately here  
20 because there's potentially an entire category of  
21 studies not being evaluated, and we will cite  
22 available human epidemiology studies as we are

1 able in our written report. But not including  
2 available epidemiologic data in the analysis  
3 introduces uncertainty about the dose response  
4 analysis. So, to summarize those three related  
5 concerns, the discussants suggested that EPA may  
6 want to consider conducting a new benchmark dose  
7 modeling analysis which compares multiple  
8 endpoints, including variables for which nested  
9 data is available, and that EPA should clearly  
10 state its rationale for selection of the benchmark  
11 response and the use of BMD or BMDL to generate a  
12 point of departure, as both choices could lead to  
13 over or underestimation of risk.

14 A final concern that was raised by  
15 several of the discussants was that the report  
16 derives a human equivalent dose for DINP as a  
17 single chemical. As the report states, reduction  
18 of fetal testicular testosterone production in rat  
19 model following developmental exposure to  
20 phthalates is similar across phthalates with  
21 differing potency but similar mode of action.  
22 Because phthalates co-occur and inhibition of

1 androgen production is a mode of action that is  
2 relevant for many other phthalates as well,  
3 several discussants stated that deriving a human  
4 equivalent dose for a single chemical would not be  
5 consistent with recommendations of the SACC's  
6 review of the Draft Proposed Principles of  
7 Cumulative Risk Assessment Under TSCA and Draft  
8 Proposed Approach for Cumulative Risk Assessment  
9 of High-Priority Phthalates and a Manufacturer  
10 Requested Phthalate, 2023. Given efforts within  
11 EPA to conduct cumulative risk assessments for  
12 multiple phthalates, those discussants are  
13 concerned that reaching a conclusion on an HED  
14 without the cumulative assessment would be  
15 incomplete, and hence the lack of consideration of  
16 mixtures and/or interactions would lead to  
17 potential underestimation of risk. The reference  
18 submitted in public comment by Gray et al., 2024,  
19 supports the argument that DINP and DID -- or DBP,  
20 excuse me, have dose-additive effects on  
21 testosterone-driven endpoints, which would justify  
22 including DINP in the planned CRA. So, that

1 concludes our comments on DINP Charge Question

2 2.a. Thank you.

3 **DR. GEORGE COBB:** Thank you, Dr.

4 Spade. Now we can go to our associate

5 discussants. Dr. Heiger-Bernays.

6 **DR. WENDY HEIGER-BERNAYS:** I have no

7 additional comments. Thank you, Dr. Spade. That

8 was great.

9 **DR. GEORGE COBB:** Dr. Jenkins.

10 **MS. ALLISON JENKINS:** I don't have

11 any additional comments. Great job. Thank you.

12 **DR. GEORGE COBB:** Dr. Merced-Nieves.

13 **DR. FRANCESKA MERCED-NIEVES:** Thank

14 you, Dr. Spade. You've included all of my

15 comments.

16 **DR. GEORGE COBB:** Dr. Przybyla.

17 **DR. JENNIFER PRZYBYLA:** No

18 additional comments in that great, comprehensive

19 review. Thank you.

20 **DR. GEORGE COBB:** And thank you to

21 Dr. Spade and to all of the discussants there. I

22 know you worked hard to get that pulled together.

1 And appreciate it. Let's go to EPA -- excuse me,  
2 let's go to the other Committee members to see if  
3 there are additional comments. Dr. Fenner-Crisp.

4 **DR. PENELOPE FENNER-CRISP:** I have  
5 comments on two of the areas that were discussed  
6 here. I'll start with the one about the  
7 cumulative assessments being considered when  
8 you're deriving an HED.

9 First of all, I would say one cannot  
10 do a cumulative risk assessment without having  
11 HEDs. But I believe it is premature to consider  
12 cumulative assessment at this point in the review  
13 process. Individual risk evaluations for each of  
14 the chemicals which will characterize risk from  
15 their respective conditions of use must be done  
16 first. In order to be most useful in cumulative  
17 assessment process, those risk evaluations must  
18 be, quote-unquote, clean, in my view. That is,  
19 focused only on a single chemical that's the  
20 subject of an individual risk evaluation. This is  
21 necessary so that the chemical-specific relative  
22 potency factors can be derived in each chemical's

1 proportional contribution to a cumulative  
2 assessment, of which there will probably be many,  
3 can be determined so that the Agency can identify  
4 appropriate risk management measures that may need  
5 to be taken to ensure that the group as a whole  
6 does not pose an unreasonable risk. Mixing  
7 cumulative factors into the derivation of a POD or  
8 HED will make these actions difficult, if not  
9 impossible, and inappropriately skewed.

10 I also have a comment to make.

11 Didn't come up so much here, but was in some of  
12 the earlier discussions about the three-X  
13 uncertainty factor that remind after applying the  
14 bodyweight calculation to the original dataset.  
15 One can't not know for sure whether or not the  
16 remaining three-X, or even the one that was set  
17 aside, results in an over or underestimate of risk  
18 in the actual species differences. So, I'm  
19 offering the suggestion that the Agency may wish  
20 to consider refining that value by making use of a  
21 recently developed DINP-PBK model. And I've got  
22 the -- that's Campbell, 2020. In the pregnant rat

1 and human, that may help to refine that three-X  
2 that was substituted for with the three-quarter  
3 bodyweight thing. And I have some more quotes in  
4 here, citations in here that would show that it  
5 would be consistent with one of the other EPA  
6 policy documents on the data-derived adjustment  
7 factors. I have the citation for that as well.  
8 Those are my comments.

9 **DR. GEORGE COBB:** Thank you, Dr.  
10 Fenner-Crisp, and please send those comments to  
11 Dr. Spade. That'd be very helpful. Are there  
12 other comments from the Committee? Dr. Eick.

13 **DR. STEPHANIE EICK:** Hi, I just  
14 wanted to quickly add on the issue of cumulative  
15 effects that I just really wanted to underscore  
16 the point that the lead discussant made that I  
17 really think that in this situation it's critical  
18 that we consider cumulative effects considering  
19 that there's a lot of epi studies, including  
20 studies in NHANES that show that exposure to DINP  
21 co-occurs with DEHP and DIDP and, also, again,  
22 studies in NHANES that are representative of the



1 U.S. that show that DINP is associated with a  
2 reduction in testosterone.

3 So, I would just like to add that  
4 I'll send those references over so that they're  
5 included in the response and just really  
6 underscore the point that's been made the  
7 cumulative effects are critical. Thanks.

8 **DR. GEORGE COBB:** I agree with the  
9 critical nature of those cumulative effects. I  
10 think in this case the Agency may be constrained  
11 by what is being evaluated in this assessment.  
12 But the cumulative effects are being evaluated  
13 separately, if you will, and maybe the EPA can  
14 explain that a bit more once we get through our  
15 comments.

16 Let's see. Are there other comments  
17 from the Committee? If not, let's go back to EPA  
18 and see if they're comments or a clarifying  
19 question.

20 **DR. ANNA LOWIT:** Tony, I'll take  
21 this one. I'll get it started, and you can add in  
22 if you want. So, to respond to the question that

1 you just -- oh, Anna Lowit, Senior Science Advisor  
2 -- that you just posed, Dr. Cobb. Penny -- Dr.  
3 Fenner-Crisp's explanation of the step-wise  
4 process to develop a cumulative assessment is  
5 accurate. That the way that we are doing this and  
6 what's necessary for the risk management process  
7 is to do each one first individually and then --  
8 step-wise to do each one and then do the  
9 cumulative using relative potency factors, and  
10 take those relative potency factors and do potency  
11 factor-adjusted exposures looking at co-  
12 occurrence. So, her explanation of the step-wise  
13 process is the way that we are working through it.  
14 And so, this panel will continue to see a few more  
15 individual assessments that looks at each one by  
16 itself, both the hazard and the exposure. And  
17 then the cumulative will be its own entity.

18 **DR. GEORGE COBB:** Excellent  
19 explanation. Thank you. Are there other  
20 questions or comments from the Agency?

21 **DR. ANTHONY LUZ:** This Tony Luz.  
22 This is Tony Luz with EPA. Thank, Dr. Lowit for

1 that explanation. I don't have anything to add on  
2 to that.

3 **DR. GEORGE COBB:** All right. Well,  
4 I want ask a question of Dr. Heiger-Bernays. Do  
5 you think we can get through the next charge  
6 question before the top of the hour?

7 **DR. WENDY HEIGER-BERNAYS:** I think  
8 we can, but there may be additional comments that  
9 we haven't heard. So, let's try.

10 **DR. GEORGE COBB:** Okay.

11 **DR. WENDY HEIGER-BERNAYS:** If we  
12 don't, we take a break.

13 **DR. GEORGE COBB:** Okay. Well, I  
14 think we can.

15

16

**CHARGE QUESTION 2.b**

17

18 **DR. GEORGE COBB:** So, Dr. Lowit, if  
19 you can read in Charge Question 2 --

20

**DR. WENDY HEIGER-BERNAYS:** B.

21

**DR. GEORGE COBB:** -- B. There's a  
22 page break here that has your name at the top of

1 what I'm reading. So, it looked like it was 2.a  
2 for me. Thank you.

3 **DR. ANTHONY LUZ:** This is Tony with  
4 EPA. I'm reading in Charge Question 2.b for DINP.  
5 "In Section 4.1.3 of the Draft Non-Cancer Human  
6 Health Hazard Assessment for DINP, EPA has  
7 preliminarily selected the HED of 3.5 milligram  
8 per kilogram per day," so NOAEL of 15 milligrams  
9 per kilogram per day, "based on a spectrum of  
10 liver effects, including incidence of spongiosis  
11 hepatitis, increased liver weight, and serum  
12 chemistry for assessing risks from chronic  
13 duration exposure to DINP. This NOAEL has been  
14 selected by other regulatory agencies, e.g., U.S.  
15 CPSC, Health Canada, EFSA, ECHA, to characterize  
16 non-cancer risks associated with exposure to DINP.  
17 Please comment on the strengths and uncertainties  
18 in the selected chronic HED, including its  
19 appropriateness for this duration." Thank you.

20 **DR. WENDY HEIGER-BERNAYS:** Thank you  
21 very much. So, for this question, we first  
22 summarized the EPA approach to determine the

1 chronic duration non-cancer POD based on a NOAEL  
2 of 15 mgs per kg per day with the critical effect  
3 of the liver toxicity; in other words, increased  
4 relative liver weight, increased serum chemistry,  
5 AST, ALT, ALP, histopathologic findings, focal  
6 necrosis, spongiosis hepatitis in F34 rats following  
7 two years of dietary exposure to DINP. No data  
8 were available for the dermal or inhalation routes  
9 that were suitable for deriving route-specific  
10 PODs. Therefore, EPA used the acute-intermediate  
11 slash intermediate-and-chronic oral PODs to  
12 evaluate risks from dermal exposure to DINP. For  
13 the inhalation route, EPA extrapolated the oral  
14 HED to an inhalation human equivalent  
15 concentration using a human bodyweight and  
16 breathing rate relevant to a continuous exposure  
17 of an individual at rest.

18 Adverse non-cancer effects on the  
19 liver were primarily observed in rats and mice of  
20 both sexes. Although, there was also evidence of  
21 hepatotoxicity from one study in beagles. Two  
22 studies in nonhuman primates with dose ranges

1 comparable to those in the rodent and beagle  
2 studies did not provide evidence of non-cancer or  
3 preneoplastic effects on the liver following a 14-  
4 and 90-day oral exposure to DINP. EPA states, "In  
5 general, short-term, 9 of the 12 studies, and sub-  
6 chronic-duration studies, nine of nine,  
7 consistently reported increases in absolute and/or  
8 relatively liver weight, sometimes in parallel  
9 with exposure-related histopathological effects on  
10 the liver, as mentioned earlier." EPA states that  
11 no human epidemiologic studies evaluating hepatic  
12 effects were identified in its review of existing  
13 assessments, primarily Health Canada, 2018A.

14 So, the strengths of the selected  
15 chronic exposure HED of 3.5 mgs per kg per day of  
16 DINP with a NOAEL of 15 mgs per kg per day are  
17 that, one, there were several adverse liver  
18 outcomes in a high-quality two-year dietary study.  
19 Two, many additional chronic exposure studies  
20 observed similar adverse liver effects; although,  
21 they had higher NOAELS. And, three, several  
22 authoritative and regulatory agencies in the U.S.

1 and around the world selected the same point of  
2 departure of 15 mgs per day, the NOAEL, based on  
3 liver outcomes in experimental rodent models. EPA  
4 reviewed 12 studies to determine the chronic POD  
5 and determine an HED for a spectrum of liver  
6 effects. Furthermore, the acute exposure HED of  
7 12 mgs per kg per day of DINP based on the NOAEL  
8 for decreases in fetal testicular testosterone  
9 production occurred at slightly higher doses than  
10 that HED for adverse liver effects, and it  
11 reinforced the ability of DINP to induce adverse  
12 health outcomes in mammals, the experimental rat  
13 model. The chronic exposure duration is an  
14 appropriate exposure to consider because it  
15 demonstrated consistency with the acute exposure  
16 duration of 12 mgs per kg per day for decreased  
17 fetal testicular testosterone production.

18           Uncertainties. EPA considered new  
19 studies published since Health Canada's  
20 assessment. However, no studies were identified  
21 that fall within this date range, 2018 -- fall  
22 within this date range and evaluated liver injury

1 for DINP and/or its metabolites. The lack of  
2 human relevance of the spongiosis hepatitis is a  
3 concern, but concomitant change in liver injury  
4 markers somewhat reduces that uncertainty. The  
5 use of liver endpoints for this purpose is also  
6 substantiated using sufficient data. Of note, the  
7 study in beagles also showed some liver toxicity  
8 but have a slightly higher NOAEL. The Agency did  
9 not use several studies in dog and rats due to  
10 either limited sample size or lack of GLP while  
11 performing the studies, which seems appropriate.  
12 EPA chose the Lington et al. developmental  
13 toxicity study NOAEL as the POD because it was  
14 more sensitive, lower than all over candidate  
15 NOAELs and LOAELs, as shown in Table 4, but  
16 neglects to determine benchmark doses for all the  
17 candidate studies, allowing the identification of  
18 endpoints and doses. This is particularly  
19 important for developmental effects for which  
20 inference about potential modes of action cannot  
21 be gleaned from the two-gen GLP studies conducted



1 before understanding of endocrine disrupting and  
2 potential effects on developing systems.

3 The rodent studies that form the  
4 basis for the selected NOAEL base POD are, one,  
5 insensitive compared with more recent in vivo  
6 studies, or even the use of NAMs where endocrine  
7 systems are targets, and, two, lack concordance  
8 with the epidemiologic studies regarding  
9 endpoints, including PPAR-alpha-mediated induction  
10 of human relevant pathways. Health Canada stated,  
11 "However, the relevance of the hepatic effects of  
12 phthalates observed in rodents is difficult to  
13 establish due to the species-specific differences  
14 in the PPAR response, rodents being significantly  
15 more sensitive than human, at least for the PPAR-  
16 mediated induction of peroxisome proliferation."

17 EPA did not give an explanation as to why the  
18 toxicokinetics would be similar via oral  
19 inhalation and dermal routes and if this  
20 extrapolation is appropriate. EPA states that  
21 differences in absorption will be accounted for in  
22 dermal exposure studies -- dermal exposure

1 estimates in the Draft Risk Evaluation for DINP;  
2 however, specifics are not provided.

3           Several recent human epidemiology  
4 studies of DINP non-cancer effects, including  
5 developmental effects, were excluded from the dose  
6 response assessment. These studies were excluded  
7 because of uncertainty about exposure. However,  
8 the studies focused on measurement of urinary  
9 biomarkers of phthalates, including metabolites of  
10 DINP. While there are technical issues when using  
11 urinary biomarkers for determination of exposure,  
12 this is a common approach, and it is the gold  
13 standard for phthalates to understand the  
14 association between the chemicals and outcomes  
15 relevant in people.

16           EPA individually assessed the merits  
17 of 53 epidemiology studies of DINP published from  
18 2018 to 2021, applying a prespecified set of study  
19 quality domains and metrics that closely mirrors  
20 the approach used by EPA's IRIS, which has been  
21 favorably reviewed by NASEM. EPA's overall  
22 quality determination was medium or high for 46 of

1 these epidemiology studies. Each study was  
2 individually assessed for its exposure measurement  
3 methods and treatment of potential confounding.  
4 In another comment, EPA has not released the  
5 systematic review protocol used for DINP, and so  
6 the SACC is unable to review its approach or  
7 findings.

8 Our summary's recommendations.

9 Overall, the available data support the Agency's  
10 selection of NOAEL and HED. The fact that several  
11 other regulatory studies have similar chronic PODs  
12 is reassuring to some respondents. Respondents  
13 agree that EPA should consider the fetal  
14 testicular testosterone production as the most  
15 sensitive effects based on the evidence from the  
16 animal studies; however, EPA should use all  
17 available dose range studies from which BMD-based  
18 points of departure should be developed, compared  
19 with each other in order to select the lowest BMD-  
20 based POD as the basis for the derivation of the  
21 human equivalent dose. EPA should provide an  
22 explanation as to why the toxicokinetics would be

1 similar by oral inhalation and dermal routes, and  
2 if this extrapolation is appropriate. EPA should  
3 provide documentation of its approach used for  
4 route-to-route extrapolation in the absence of  
5 dermal and inhalation data and should release the  
6 systematic review protocol for DINP. EPA has  
7 disqualified epidemiology studies in a manner  
8 inconsistent with its own prespecified procedures,  
9 and EPA's own overall quality determinations  
10 indicate that these studies are suitable for use.  
11 EPA should include these studies in its  
12 justification of studies potentially suitable for  
13 informing the POD. Alternatively, EPA could  
14 justify why these studies are not relevant. And,  
15 lastly, EPA should apply benchmark dose modeling  
16 to derive chronic non-cancer points of departure  
17 and select the one that is most sensitive lowest.  
18 And I have a series of editorial comments that I  
19 will provide in writing. That's what I got.

20 **DR. GEORGE COBB:** Thank you. Thank  
21 you for that thorough summary. And now we can  
22 turn to the associate discussants. And Dr.

1 Shuman-Goodier. Is Dr. Shuman-Goodier there? If  
2 not, Dr. David.

3 **DR. WENDY HEIGER-BERNAYS:** I wonder  
4 if that's the right --

5 **DR. CYNTHIA GRAHAM:** I think you  
6 have the --

7 **DR. WENDY HEIGER-BERNAYS:** -- list  
8 of discussants.

9 **DR. CYNTHIA GRAHAM:** Yeah.

10 **DR. WENDY HEIGER-BERNAYS:** It's Dr.  
11 Howdeshell and Dr. Graham. Dr. Apte.

12 **DR. GEORGE COBB:** Oh, you know what,  
13 I'm sorry. I'm sorry. I'm on --

14 **DR. ALAA KAMEL:** Yeah. The  
15 discussants are below the question, Dr. Cobb.

16 **DR. GEORGE COBB:** I know. We got  
17 multiple 2.a's and 2.b's.

18 **DR. WENDY HEIGER-BERNAYS:** It's  
19 okay.

20 **DR. GEORGE COBB:** Okay. Let's try  
21 again. So, I think Dr. Apte is the first person.

1                   **DR. UDAYAN APTE:** Right. No, my  
2 comments were all captured in there. Thank you so  
3 much.

4                   **DR. GEORGE COBB:** And Dr. Baker.

5                   **DR. MARISSA BAKER:** No further  
6 comments.

7                   **DR. GEORGE COBB:** Dr. Graham.

8                   **DR. CYNTHIA GRAHAM:** Thank you, Dr.  
9 Heiger-Bernays. You have included all of my  
10 comments.

11                   **DR. GEORGE COBB:** And Dr.  
12 Howdeshell.

13                   **DR. KEMBRA HOWDESHELL:** Thank you.  
14 No further comments.

15                   **DR. GEORGE COBB:** Right. Well,  
16 let's go to the rest of the Committee then. Are  
17 there comments from others on the Committee? If  
18 not, we can go to EPA for any clarifying  
19 questions, comments.

20                   **DR. ANTHONY LUZ:** Hey, this is Tony  
21 Luz with EPA. No clarifying questions or comments  
22 from us.

1                   **DR. GEORGE COBB:** All right. Well,  
2 at this point, I think it is about time for a  
3 lunch break. Perhaps we can take a one-hour lunch  
4 break and then reconvene. And at that point,  
5 we'll revisit and see if there's any further  
6 comments from the Committee on the things we've  
7 discussed today. And then we'll move into Charge  
8 Question 2.c, which will be led by Dr. Apte. So,  
9 we will hopefully see everyone back in an hour.

10  
11                   **[LUNCH BREAK]**

12  
13                   **DR. GEORGE COBB:** Welcome back. And  
14 this is George Cobb and we're in the Science  
15 Advisory Committee for Chemicals. Somebody's not  
16 on mute. Somebody's not on mute. Thank you. And  
17 I'd like to see if there's anything from our DFO,  
18 Dr. Kamel, before we proceed.

19                   **DR. ALAA KAMEL:** Everything is  
20 fine. You can proceed. Thank you.

21                   **DR. GEORGE COBB:** All right. Thank  
22 you very much. So, at this point as we do after

1 every lunch break and every break for the end of  
2 the day, I'd like to circle back and see if there  
3 are comments from committee members based on what  
4 we went over in the morning.

5 I know we know we had a couple times  
6 to do that but just procedurally it's better to  
7 get this stuff out of the way now than later. So,  
8 I think a couple people mentioned things that they  
9 wanted to get aligned from the morning's  
10 discussion, so open it up for that.

11 **DR. ALAA KAMEL:** Also, take the roll  
12 if you would like.

13 **DR. GEORGE COBB:** Okay. I'll do  
14 that first. I'm going to take the roll but get  
15 your questions/additional comments ready. Thank  
16 you, Alaa. Juggling too many things over here  
17 today. So, I should've known when Dr. Apte's  
18 image came up that that was my queue to take the  
19 roll but you're the next lead as well, so I got  
20 confused.

21 **DR. ALAA KAMEL:** Good coincidence.



1                   **DR. UDAYAN APTE:** Yeah, I have  
2 always been the first on the roll whole life so,  
3 I'm just being Apte -- A -- that's how it works.

4                   **DR. GEORGE COBB:** So, I don't have  
5 to call your name now. Dr. Baker.

6                   **DR. MARISSA BAKER:** Here.

7                   **DR. GEORGE COBB:** Dr. Chaisson.

8                   **DR. CHRISTINE CHAISSON:** Here.

9                   **DR. GEORGE COBB:** Dr. Eick.

10                  **DR. STEPHANIE EICK:** Here.

11                  **DR. GEORGE COBB:** Dr. Gentry

12                  **DR. ROBINAN GENTRY:** Here.

13                  **DR. GEORGE COBB:** Dr. Graham.

14                  **DR. CYNTHIA GRAHAM:** I'm here.

15                  **DR. GEORGE COBB:** Dr. Heiger-  
16 Bernays.

17                  **DR. WENDY HEIGER-BERNAYS:** Here.

18                  **DR. GEORGE COBB:** I think I left out  
19 Ms. Jenkins.

20                  **MS. ALLISON JENKINS:** I'm here,  
21 thank you.

1 DR. GEORGE COBB: Yeah. I'm going  
2 too fast through the roll now. Dr. Li? Okay.  
3 Dr. Merced-Nieves.

4 DR. FRANCESKA MERCED-NIEVES: Here.

5 DR. GEORGE COBB: Dr. Ottinger.

6 DR. MARY OTTINGER: Here.

7 DR. GEORGE COBB: Dr. Przybyla.

8 DR. JENNIFER PRZYBYLA: Here.

9 DR. GEORGE COBB: Dr. Reif. Okay.

10 Dr. David.

11 DR. RAYMOND DAVID: Here.

12 DR. GEORGE COBB: Dr. Fanning.

13 DR. ELINOR FANNING: Here.

14 DR. GEORGE COBB: Dr. Fenner-Crisp.

15 DR. PENELOPE FENNER-CRISP: Here.

16 DR. GEORGE COBB: Dr. Howdeshell

17 DR. KEMBRA HOWDESHELL: Here.

18 DR. GEORGE COBB: Dr. Martinez.

19 DR. JEANELLE MARTINEZ: I'm here.

20 DR. GEORGE COBB: Thank you. Dr.  
21 Shumen-Goodier.

22 DR. MOLLY SHUMEN-GOODIER: Here.

1                   **DR. GEORGE COBB:** Dr. Spade.

2                   **DR. DANIEL SPADE:** Here.

3                   **DR. GEORGE COBB:** And Dr. Wolf's not  
4 here today. Okay, so thank you for that. And  
5 Alaa, thank you for keeping me on track here.  
6 Let's go back to additional comments from this  
7 morning. I think there were a couple from 2B that  
8 I saw that needed to be clarified. So, let's see.  
9 Dr. Martinez?

10                   **DR. JEANELLE MARTINEZ:** Hi. Yes, I  
11 had a couple of comments but one of them was  
12 covered previously. But my other comment was  
13 based on the HED of 3.5 based on the NOAEL of 15  
14 and they did it based on the LinkedIn (phonetic)  
15 and Bio/Dynamics studies. But I'm wondering  
16 because there's also the other high-quality study  
17 of Covance labs.

18                   So, since they're both high quality  
19 studies and they both have the same effects of the  
20 liver and kidney with the increased organ weights  
21 and the histopathology, should they maybe not

1 consider using the highest NOAEL that's below the  
2 lowest LOAEL.

3 And that's just a practice I use  
4 sometimes when they have the same type of effects  
5 and there's two studies. But along the same line,  
6 I'm wondering if there's -- I really like that  
7 flow chart that they had for the TRV and  
8 specifically identifying how they choose their  
9 endpoints and if there is any possible -- if they  
10 could put that into the Human Health Risk  
11 Assessment that would be really great. But that  
12 was my comment.

13 **DR. GEORGE COBB:** So, thank you for  
14 the comment, and please make sure that Dr. Reif  
15 gets those comments. I think he has them, but  
16 just make sure. And on the flow chart, you're not  
17 saying the same flow chart, you're meaning that's  
18 a similar flow chart that would give that kind of  
19 information for the human health?

20 **DR. JEANELLE MARTINEZ:** Yes, yes. A  
21 similar. That would be really helpful, I think,

1 for all of the endpoints that they use for the  
2 human health.

3 **DR. GEORGE COBB:** Okay. And Dr. --  
4 go ahead.

5 **DR. WENDY HEIGER-BERNAYS:** It's  
6 Wendy Heiger-Bernays. Dr. Martinez, is that for  
7 2B or for DINP-2B or for something else?

8 **DR. JEANELLE MARTINEZ:** Yes, it was  
9 for the DINP-2B.

10 **DR. WENDY HEIGER-BERNAYS:** Okay,  
11 thank you. And you've already sent that, I think,  
12 to me --

13 **DR. JEANELLE MARTINEZ:** I did. I  
14 sent you an email and I know it sent it a little  
15 bit late, but I did send it to you recently, okay.

16 **DR. WENDY HEIGER-BERNAYS:** Thank  
17 you.

18 **DR. JEANELLE MARTINEZ:** Thank you.

19 **DR. GEORGE COBB:** Now. Dr. Shuman-  
20 Goodier.

21 **DR. MOLLY SHUMAN-GOODIER:** Thank  
22 you, Dr. Cobb. Yes, we had some back and forth

1 during lunch about updating a comment from Charge  
2 Question 2B for DIDP. So, I'll just read into the  
3 public record the updates so that they're  
4 reflected. And this was the charge question  
5 related to the use of DIDP -- DINP as an analogue  
6 for DIDP with regard to the soil invertebrate  
7 study.

8 So, the comment is, did EPA consider  
9 read across data from DINP or other analogues to  
10 inform data gaps for the other species in the  
11 environmental assessment that lacked empirical  
12 data? While using DINP as an analogue for DIDP  
13 may not be justified for vertebrates based on the  
14 potential differences in mode of action that are  
15 well discussed in the DINP evaluation.

16 It could be useful for the agency to  
17 inform data gaps really to terrestrial plants,  
18 aquatic invertebrates, or algae for DIDP.  
19 Alternatively, if this has already been done EPA  
20 could consider adding a description of why the  
21 analogue data was not used to fill out those

1 hazard profiles for the additional species. Thank  
2 you.

3 **DR. GEORGE COBB:** Okay, thank you  
4 for that clarification. And was that all of the  
5 discussants there had put together? At least sent  
6 in.

7 **DR. MOLLY SHUMAN-GOODIER:** Yeah,  
8 thank you, Dr. Cobb. This was a specific comment  
9 that was stated by Dr. Reif into Charge Question  
10 2B, and it reflects some updated language.

11 **DR. GEORGE COBB:** Okay. Thank you.  
12 And I see Dr. Howdeshell is there.

13 **DR. KEMBRA HOWDESHELL:** Yeah. I was  
14 just going to confirm -- this is Kembra Howdeshell  
15 -- that that is accurate.

16 **DR. GEORGE COBB:** All right, great.  
17 So, about this charge question after asking for  
18 comments over and over again, there was one of  
19 mine that got left out that -- there are actually  
20 two of mine that got left out.

21 The first one was -- the EPA  
22 acknowledges that one of the studies, that's

1 referenced as ExxonMobil, was done nominal  
2 concentrations. However, if you look at that  
3 document, there's no indication of any type of  
4 purity or how those nominal concentrations were  
5 measured. The quality of information that  
6 describes the dose in that experiment is woefully  
7 inadequate. And I've done those kinds of studies  
8 before.

9 I know the difficulties there but  
10 basically it says we did these at nominal  
11 concentrations and the purity of the compounds was  
12 reported by the producer and that's all you know.  
13 And so that's suspect for something that's deemed  
14 high quality.

15 And then the other option -- the  
16 other item, not option. The other item is with  
17 the bioaccumulation test, there are  
18 bioaccumulation data that could be used in  
19 evaluating some relative  $K_{OWS}$ . There's a wide  
20 range of  $K_{OWS}$  to maybe evaluate how those  $K_{OWS}$  --  
21 those calculated  $K_{OWS}$  are truthful or



1 representative because we've got two and a half  
2 orders of magnitude of difference in some places.

3 So, I think that would be a way to  
4 ground truth that the K<sub>OWS</sub> that are being used for  
5 bioaccumulation were correct. And other than  
6 that, I think Dr. Reif -- the discussants -- did a  
7 great job pulling that together.

8 So, circling back for final comments  
9 from the morning session. Dr. Howdeshell.

10 **DR. MOLLY HOWDESHELL:** Yeah, I'm not  
11 noticing that David Reif is here. I'm wondering  
12 if, George, if you'd be willing pass those  
13 comments along or perhaps you have already, and  
14 they weren't read in. Great. Thank you.

15 **DR. GEORGE COBB:** I may have gotten  
16 those to him too late to get incorporated. But  
17 anyway, those have been passed along.

18

19

**CHARGE QUESTION 2.c**

20

21

22

**DR. GEORGE COBB:** Okay, if there are  
no further comments let's move to our charge

1 questions and next Charge Question is 2C is where  
2 we are, and we'll turn it back over I believe Dr.  
3 Luz.

4 **DR. ANTHONY LUZ:** Hi, Dr. Cobb, this  
5 is Tony Luz with EPA. Before I read this charge  
6 question, I was wondering if I can ask a quick  
7 clarifying question?

8 **DR. GEORGE COBB:** Of course.

9 **DR. ANTHONY LUZ:** So, in your  
10 previous response, Dr. Cobb, you had referenced a  
11 ExxonMobil study. We're not sure what precise  
12 study you're talking about. Was it the earthworm  
13 study? Did you have hero ID?

14 **DR. GEORGE COBB:** It was the  
15 earthworm study and, yeah. That's exactly it.  
16 I've got the page numbers. It's on page 613 to  
17 614 of the hazard -- environmental hazard.

18 **DR. ANTHONY LUZ:** Thank you.

19 **DR. GEORGE COBB:** I was trying not  
20 to read all that minutia into the record but if it  
21 helps you, we'll read it in.

1                   **DR. ANTHONY LUZ:** Okay. I  
2 appreciate it.

3                   **DR. GEORGE COBB:** Okay.

4                   **DR. ANTHONY LUZ:** Okay, now I can  
5 read in DINP Charge Question 2C. In the Draft  
6 Cancer Human Health Hazard Assessment for DINP,  
7 EPA considered MNCL Section 3.2.2 kidney tumors,  
8 Section 3.2.3 in liver tumors, Section 4. EPA has  
9 preliminarily determined an  $\alpha$ 2u-globulin or  
10 (inaudible)  $\alpha$ 2u-globulin MOA for kidney tumors and  
11 that there is too much scientific uncertainty  
12 associated with the instances of MNCL observed in  
13 F344 rats to use quantitatively to estimate human  
14 risk for exposure to DINP.

15                   Therefore, EPA focused its MOA  
16 analysis and dose response analysis on liver  
17 tumors. Please comment on the strengths and  
18 uncertainties of EPA's decision to focus its  
19 cancer assessment on liver tumors. Thank you.

20                   **DR. GEORGE COBB:** Great. Thank you  
21 for reading that in, and now we can turn to our  
22 lead discussant, Dr. Apte.

1                   **DR. UDAYAN APTE:** Thank you, Dr.  
2 Cobb. This Udayan Apte. I'm going to read into  
3 the responses I've gotten. I'm going to start  
4 with a summary of key points and then give some  
5 details. Overall, so the Agency's decision not to  
6 consider MNCL to drive quantitative risk  
7 assessment of cancer hazard is well supported by  
8 data. The Agency has provided substantial  
9 evidence that the kidney tumors produced by DINP  
10 are due to to  $\alpha$ 2u-globulin MOA and correctly  
11 classified them as not relevant to humans.

12                   Overall, the Agency's decision to  
13 focus on liver tumors rather than other types of  
14 tumors for cancer risk evaluation is justified.  
15 The Agency did a good job in describing the data  
16 and effects on the tumors as the most appropriate  
17 endpoint for human decision. It is recommended  
18 that the data in Table 3.3 should be treated the  
19 same way as in Table 3.1 and 3.2 combining the  
20 neoplastic findings and comparing across those  
21 groups.

1                   Thus, comparing males 4712-9 and  
2                   females 1110-9, et cetera. It is appropriate to  
3                   combine hepatocellular neoplasms as they are  
4                   continuing from typical hypoplasia throughout  
5                   adenoma and carcinoma. These are older studies,  
6                   and the term neoplastic nodule is no longer used.  
7                   A reference has been included to kind of justify  
8                   that. Wolf and Mann (inaudible) TAB 2004/'05.

9                   Changes in the terminology and  
10                  interpretation can have an impact on a series of  
11                  lesions such as the pathology of liver tumors.  
12                  Non-malignant masses that arise from proliferating  
13                  initiated at para sites have been variously called  
14                  neoplastic nodules. Benign hepatic tumors,  
15                  hepatomas, paracellular adenomas, and nodular  
16                  hypoplasias. And so, a common nomenclature has  
17                  been preferred in this paper.

18                  About the mononuclear cell leukemia,  
19                  also sometimes called as Fischer rat leukemia, is  
20                  a finding in virtually hundred percent of Fischer  
21                  344 rats if they live long enough. Need to  
22                  correlate with the lifespan of the rats unique to

1 that specific tumor, and so it is one of the  
2 reasons the Fischer 344 rats are no longer the  
3 primary rat species used by the NTP because of its  
4 confounder in the bioassay interpretation. The  
5 Agency's conclusion not to consider this rat-  
6 specific tumor for human risk assessment is  
7 scientifically correct decision.

8 About the kidney tumors, there seems  
9 to be sufficient evidence that these renal tumors  
10 are associated with excess  $\alpha_2$ -globulin which is a  
11 well-characterized rat specific protein and  
12 associated tumors and renal toxicity due to its  
13 accumulation in the kidney are not relevant to  
14 human health risks.

15 There are some specific trends and  
16 uncertainties pointed out. Acceptance, not using  
17 MNCL is widely accepted by other international  
18 agencies, which is summarized on pages 17 of 48.  
19 The report provides a good overview on the problem  
20 of high spontaneous MNCL in controls. Notably, on  
21 the Fischer 344 rats, as stated before, were  
22 susceptible to MNCL.

1 MNCL in kidney findings lack  
2 concordance. Leukemia, MNCL, and kidney tumors  
3 are only found in one species and are one-sixth of  
4 one species where kidney tumors were only  
5 significantly induced in the male Fischer 344  
6 rats. Plausibility, while biologically plausible,  
7 the hypothesized key event in the role in liver  
8 tumor MOA, which is PPAR $\alpha$  is unlikely to induce  
9 such tumors in humans because of significant  
10 quantitative toxicodynamic and biological  
11 differences in the responses.

12 Thus, the rodent MOA is either not  
13 relevant or is unlikely to be relevant to humans  
14 as evidence provided here Corton et al 2013.  
15 Sensitivity. The most sensitive study endpoint to  
16 carry on to a dose response assessment is liver  
17 tumors recognizing that the hypothesized MOA for  
18 rodents is at least quantitatively different from  
19 humans. By using the most sensitive ethical  
20 endpoint it is assumed these doses will not cause  
21 toxicity to humans.

1 Cross species tumor site  
2 concurrence. Liver tumors are repeatable for  
3 DINP. They are found in both sexes and multiple  
4 strains and species. However, it is interesting  
5 that MNCL is also found in the liver. Some  
6 uncertainties that were pointed out for relevance.  
7 Relevance to humans is questionable. No human  
8 hematological evidence identified. Line 1137,  
9 page 42. In the U.S. EPA guidelines for  
10 carcinogen risk assessment tools on file, there is  
11 a lack of guidance for evaluating human relevance.

12 It has been pointed out that some  
13 immune related cancers are not addressed and the  
14 question we are asking is whether there was a  
15 specific search for them. This is because immune-  
16 related gene activation is seen in ToxCasts for  
17 DINP.

18 The inactivity of DINP in ToxCast  
19 data for nuclear receptors including CAR, HR,  
20 PPAR $\alpha$ , and PPAR gamma, and other assays of PXR  
21 with positive responses found in other in vitro  
22 studies. A comparison of the doses and



1 experimental conditions used from other individual  
2 studies is warranted where the ToxCast data  
3 compared with these studies.

4 Dose response concurs PPAR $\alpha$  MOA in  
5 rats. Males seem to be more sensitive to KE1, Key  
6 Event One, however, the species different should  
7 be included in Table 4.2, 4.5-3, since there are  
8 species differences in tumor doses. And then  
9 there are some specific comments that are  
10 editorial.

11 Further, other contributors to my  
12 charge question basically echo majority of the  
13 comments that I've said. The decision not to  
14 consider MNCL to derive quantitative estimates for  
15 cancer hazard is appropriate. While a  
16 statistically significant increase in incidents is  
17 noted, in once study of Fischer 344 rats in kidney  
18 tumors, this increase is very small, 4 out of 50  
19 animals, after a very high dose of exposure, 1,000  
20 PPMs, and thus the decision not to consider kidney  
21 tumors to derive quantitative risk assessment is  
22 appropriate.

1                   The incidence of liver tumors was  
2                   the only tumor type that was observed to be  
3                   significant increase in multiple strains of rats,  
4                   one strain of mice in both males and females  
5                   following chronic exposure to high concentrations  
6                   of DINP. To focus the mode of action and  
7                   potential dose response analysis on these tumors  
8                   is appropriate.

9                   However, there is uncertainty in  
10                  relying upon this endpoint to extrapolate to  
11                  humans if EPA provides evidence from multiple  
12                  studies to support that these liver tumors in  
13                  rodents could occur through a PPAR $\alpha$  mode of  
14                  action. This suggests a mode of action in rodents  
15                  that has been evaluated in multiple assessments  
16                  beginning with (inaudible) Klonig et al in 2003  
17                  and then most recently by Corton et al in 2018  
18                  which is sited in the document.

19                  While this mode of action for liver  
20                  tumors in rodents is biologically plausible, it  
21                  has been determined that in multiple assessments  
22                  by multiple authoritative bodies sited by EPA

1 including NICNAS, USCPSC, ECHA, and Health Canada,  
2 they lack human relevance. In evaluations of  
3 DINP, no quantitative evaluation of these liver  
4 tumors were conducted plus the potential for  
5 carcinogenicity to humans.

6 EPA suggests that there is a reduced  
7 confidence in the proposed PPAR $\alpha$  for mode of  
8 action for liver tumors because there is not  
9 enough evidence for every key event, however,  
10 evidence for every molecular event is not needed  
11 or not necessary to build a mode of action and  
12 described in the available evidence as strong.  
13 Therefore, to conclude that this mode of action  
14 for liver tumors lacks human relevance would be  
15 appropriate and consistent with the conclusions of  
16 multiple authoritative bodies cited by EPA. And  
17 we have several references included.

18 Further, EPA's determination that  
19 there's too much uncertainty associated with DINP  
20 --  $\alpha$ 2u-globulin MOA for kidney tumors to estimate  
21 human risk for exposure to DINP is appropriate.  
22 There is uncertainty surrounding the MOA for

1 cancer for liver or concerning most notably  
2 conclusions from two panels (inaudible) Felter et  
3 al 2018 and Corton 2014, conclusions that PPAR $\alpha$  is  
4 not human relevant.

5           Additionally, EPA manages that the  
6 POD from the non-cancer health hazard assessment  
7 is protective of concern given that this is lower  
8 than the suspected Key Event One in the MOA for  
9 liver cancer. However, no PPAR $\alpha$  activation was  
10 observed in monkeys observed exposed to DINP at  
11 higher doses than some of the activation  
12 concentrations in rodent studies.

13           So, by doing so, EPA does not make a  
14 clear conclusion about the cancer-causing  
15 potential of DINP. So, it is not clear if non-  
16 cancer POD changes either through recommendations  
17 by the committee or other avenues and become  
18 higher than the Key Event One estimated activated  
19 concentrations. How the cancer assessment will  
20 proceed from this point onwards.

21           Further, there are other comments  
22 that are mostly editorial. There are a few

1 citations that we are providing including that is  
2 a workshop that was conducted by NTP to  
3 demonstrate that Fischer 344 rats and B6CTF1 mice  
4 are probably not a good species and that has been  
5 provided.

6 And overall, we think that the  
7 Agency's decision to focus on liver tumors rather  
8 than other types of tumors for cancer risk  
9 evaluation justified. Thank you.

10 **DR. GEORGE COBB:** Excellent. Thank  
11 you for getting the comments compiled and together  
12 for the discussants and so now we can now turn to  
13 our associate discussants, and we'll start with  
14 Dr. Przybyla.

15 **DR. JENNIFER PRZYBYLA:** No further  
16 comments, thank you.

17 **DR. GEORGE COBB:** Thank you. Dr.  
18 Gentry.

19 **DR. ROBINAN GENTRY:** No further  
20 comments, thank you.

21 **DR. GEORGE COBB:** Dr. Martinez.

1                   **DR. JEANELLE MARTINEZ:** Hi. No  
2 further comments from me, either.

3                   **DR. GEORGE COBB:** And Dr. Wolf is  
4 not with us today. Let's see about comments from  
5 other committee members. I see Dr. Graham's hand  
6 is up.

7                   **DR. CYNTHIA GRAHAM:** Yes. I just  
8 want to comment, and I find it interesting that  
9 the Committee agrees that it was good that EPA  
10 focused on the liver tumors when most of their  
11 comments were explaining how it's not relevant to  
12 humans.

13                   So, I don't know. I question that.  
14 If it's not relevant to humans, why would that be  
15 appropriate to use as the MOA?

16                   **DR. GEORGE COBB:** That's a good  
17 question and I have to turn it to Dr. Apte and the  
18 discussants.

19                   **DR. UDAYAN APTE:** So, the question  
20 is that what they've done is based on the data  
21 they had available and looking at that, the kidney  
22 tumors MNCL, they clearly are not related. I

1 mean, those were not relevant as well. The only  
2 data I think that this process was driven by was  
3 the clear connection between liver tumors and the  
4 dose. And the MOA happens to be PPAR $\alpha$  which most  
5 people consider to be not relevant.

6                   However, there are agencies other  
7 than us that still are not completely convinced.  
8 So, with the given amount of data and availability  
9 we thought most of the members seem to think it is  
10 what they could do as good as they could get is my  
11 interpretation of what they're saying. Does that  
12 make sense?

13                   **DR. CYNTHIA GRAHAM:** Okay. Yes, but  
14 if we have a committee vote, I would vote against  
15 that. So. I know I wasn't in the group, though.  
16 So, thank you for your explanation.

17                   **DR. UDAYAN APTE:** Would you provide a  
18 specific recommendation?

19                   **DR. CYNTHIA GRAHAM:** I'm sorry?

20                   **DR. UDAYAN APTE:** Would you provide  
21 a specific recommendation?

1                   **DR. CYNTHIA GRAHAM:** I may, and I'll  
2 send it to you.

3                   **DR. UDAYAN APTE:** Okay.

4                   **DR. GEORGE COBB:** Great. Thank you,  
5 Dr. Graham. That's a good point and I had a  
6 similar thought, but this is not right in my  
7 expertise, so I didn't want to jump in. So, thank  
8 you for asking that question. Dr. Fenner-Crisp.

9                   **DR. PENELOPE FENNER-CRISP:** I think  
10 I might be able to clarify why they did go forward  
11 and suggest that they needed to do the MOA  
12 analysis to actually determine if that might be  
13 the -- since there's some uncertainty and there  
14 might've been uncertainty about whether or not  
15 this was the mode of action for DINP. They had to  
16 go through the analysis to show whether or not it  
17 fit this paradigm or whether or not there was an  
18 alternative explanation for it.

19                   So, whether or not it turned out to  
20 be relevant is irrelevant in the sense that they  
21 had to go through -- rope it (inaudible) to go



1 through the exercise to reach and confirm a  
2 conclusion of possible.

3 **DR. GEORGE COBB:** Thank you. That  
4 makes total sense. So other comments from the  
5 Committee? Dr. Gentry?

6 **DR. ROBINAN GENTRY:** I think I would  
7 just add to what Dr. Fenner-Crisp just said is we  
8 agreed to move down that path -- that EPA should  
9 go down that path like they did -- but I think  
10 part of the conclusions of the Committee, too, was  
11 also that once they went down that path we agreed  
12 that no quantitative evaluation should be  
13 conducted because of the lack of human relevance.  
14 So that was part of the conclusions as well.

15 **DR. PENELOPE FENNER-CRISP:** Right.

16 **DR. UDAYAN APTE:** That's well put.

17 **DR. GEORGE COBB:** And that may help  
18 answer Dr. Graham's question.

19 **DR. CYNTHIA GRAHAM:** Yes, thank you.

20 **DR. PENELOPE FENNER-CRISP:** And I  
21 think our discussions of the next two questions  
22 may also provide some perspective for her and

1 encourage her to weigh in as we talk about the  
2 two.

3 **DR. GEORGE COBB:** Great. This is  
4 good conversation. I'm glad we're having this.  
5 So, are there other comments from the Committee?  
6 If not, we'll go to the Agency to see if you have  
7 questions about our response. I see two people.  
8 I see Dr. Luz and Dr. Lowit.

9 **DR. ANTHONY LUZ:** Anna. Do you want  
10 to start, Anna?

11 **DR. ANNA LOWIT:** Did you have a  
12 question?

13 **DR. ANTHONY LUZ:** No, I didn't. I  
14 was going to say I didn't have any questions.  
15 I'll let you jump in.

16 **DR. ANNA LOWIT:** Well, first if  
17 there are dissenting views, like if Dr. Graham has  
18 a dissenting view and she just agrees it would be  
19 important to make sure that gets on the record if  
20 her comment is more of clarification, that's  
21 different.

1           But I was actually wondering if the  
2           confusion -- because I was also a little bit  
3           confused -- comes in that maybe some of the human  
4           relevance belongs in 2E that our questions have  
5           attempted to be step-wise -- that the first step  
6           in the analysis as Dr. Fenner-Crisp explains is  
7           just to look at those tumors and decide which ones  
8           to do more analysis on and that's the intent of  
9           the one that we just went through and that maybe  
10          some of the other sort of belongs somewhere else,  
11          it might help with the confusion.

12           But it's not my report, you do what  
13          you want. It's just I think it might help.

14           **DR. FENNER-CRISP:** Well, obviously  
15          the human relevance will be discussed in the other  
16          two questions.

17           **DR. UDAYAN APTE:** I've been in  
18          communication with Dr. Fenner-Crisp about that, so  
19          we'll have some more response.

20           **DR. CYNTHIA GRAHAM:** No, that's  
21          fine. I think you answered my questions. I'm

1 sorry, I wasn't involved in those and so therefore  
2 my question. Thank you.

3 **DR. GEORGE COBB:** So, A, don't  
4 apologize for getting things clarified. This is  
5 the time to do it, so that --

6 **DR. FENNER-CRISP:** Just hang onto it  
7 for a minute.

8 **DR. GEORGE COBB:** Yeah. That's what  
9 we're all supposed to do. Okay, so now I guess we  
10 can turn it back over to EPA for our next charge -  
11 -

12 **CHARGE QUESTION 2.d**

13  
14 **DR. ANTHONY LUZ:** This is Tony Luz  
15 with EPA, and I'll read the DINP Charge Question  
16 2D. In the draft Cancer Assessment for Human  
17 Health Hazard Assessment for DINP, EPA  
18 preliminarily concluded that the weight of  
19 scientific evidence supports a peroxisome  
20 proliferated activator receptor alpha, or PPAR $\alpha$   
21 MOA for liver tumors in rats and mice in Section

1 4.1. Please comment on the strengths and  
2 uncertainties of EPA's preliminary conclusion.

3 In your response, please include  
4 discussion of the strengths and uncertainties of  
5 available data supporting key events in the PPAR $\alpha$   
6 MOA and the scientific rationale for threshold  
7 approach for cancer dose response. Thank you.

8 **DR. GEORGE COBB:** Thank you for  
9 that, Dr. Luz. Our lead discussant for this is  
10 Dr. Fenner-Crisp, and we'll turn it over to her  
11 now.

12 **DR. PENELOPE FENNER-CRISP:** Okay,  
13 thank you, Dr. Cobb. As an introduction I'd like  
14 to say that I hope I've captured the salient  
15 comments that the associates have provided in the  
16 past and if not, I would hope they would make  
17 comment on it following the presentation. And if  
18 there are any, would they please forward them to  
19 me in writing so I can add them to our report,  
20 thanks. For both questions.

21 Okay, let's answer this first one.  
22 Section 4 is a well-written section, clear and

1 straightforward in a manner consistent with the  
2 principles articulated in the WHOIPCS mode of  
3 action human relevance framework. Got a citation  
4 for that. And EPA's guidelines for carcinogen  
5 risk assessment. It is an exceptional example of  
6 how this type of analysis should be conducted by  
7 the Agency. It is very well done.

8           Nonetheless, of course, it could be  
9 strengthened by referencing the extensive database  
10 on examining the ability of PPAR $\alpha$  agonists to  
11 produce liver tumors in rodents and other species  
12 in a specific predictable way rather than  
13 depending only upon DINP specific information.  
14 Extensive literature on this mode of action  
15 pursuant to other chemicals that bind to and  
16 activate PPAR $\alpha$  including other closely related  
17 phthalates would be very useful in filling some of  
18 the data gaps or some of the key events that are  
19 less or no DINP-specific data at this time.

20           On that latter point, if you recall  
21 one of the public commenters provided a number of  
22 references claiming that they could provide --

1                   **DR. GEORGE COBB:** Well, it looks  
2 like Dr. Fenner-Crisp has frozen. Is there  
3 anything on the technical side that we can do?

4                   **DR. PENELOPE FENNER-CRISP:** Am I  
5 still frozen?

6                   **DR. GEORGE COBB:** You're not frozen  
7 now.

8                   **DR. PENELOPE FENNER-CRISP:** Okay.  
9 Where did I get frozen?

10                  **DR. GEORGE COBB:** I would say, 30  
11 seconds ago. I don't remember the exact words you  
12 were saying.

13                  **DR. ELINOR FANNING:** You were saying  
14 a public commenter provided a number of references  
15 dot, dot, dot.

16                  **DR. FENNER-CRISP:** Okay. I'll start  
17 there. Okay. On the latter point, one of the  
18 public commenters provided a number of references  
19 claiming that they could provide information that  
20 would fill in those data gaps. Hopefully that  
21 will be the case, and the Agency can resolve any  
22 remaining uncertainties they may have about

1 whether or not DINP produces the liver tumors by  
2 this mode of action.

3 In any case, the existing extensive  
4 body of literature collectively describes and  
5 integrates postulated key events into a well-  
6 characterized downstream outcome binding to the  
7 PPAR $\alpha$  receptor resulting in liver tumors in  
8 rodents.

9 Carton et al 2018 does a  
10 particularly nice job of summarizing the current  
11 knowledge about this MOA including figures which  
12 incorporate confirmation of the key events and  
13 modulating factors involved. The order in which  
14 key events -- the order in which they occur  
15 supported by data in chemicals representing more  
16 than one class included below of the figure from  
17 the publication which visually presents the  
18 occurrence of key events in the PPAR mode of  
19 action.

20 If you'd put up the slide, please.  
21 Let me know when it's up. It's up, okay. One  
22 will notice that collectively the entire mode of



1 action pathway is filled in. If the table were  
2 updated today, more chemicals would be added.  
3 Just for example, permethrin and possibly some  
4 other phthalates and probably some other PFAS.  
5 The figure does include data from some phthalates  
6 other than DINP and as the remaining five  
7 phthalate risk evaluations are drafted, additional  
8 phthalate chemicals may be added to this figure.

9 Other phthalates not on the TSCA  
10 priority list at this time may also meet the  
11 criteria for inclusion in the MOA analysis -- if  
12 an MOA analysis were conducted for these observed  
13 to induce rodent liver tumors. In the meantime,  
14 to address lingering uncertainties that the Agency  
15 may have now about DINP acting via this pathway,  
16 it is incumbent upon it to exercise its historical  
17 practices of employing the tools used in the new  
18 chemicals program such as SAR/QSAR and read across  
19 to fill in the DINP key event gaps currently  
20 devoid of adequate empirical data.

21 For example, the figure shows that  
22 there are relevant data related to KE2 for two

1 other phthalates DEP and DBD. Additional  
2 candidates for read across include the other  
3 examples in the figure as well as the other five  
4 TSCA priority phthalates for which the risk  
5 assessments evaluations are being drafted  
6 currently.

7 Along with those that are on the  
8 list in the NAS 2008 phthalate report and the NASM  
9 2017 report there are eight or nine more added  
10 that don't include the seven priority ones that  
11 are being evaluated now. Even though these  
12 phthalates were evaluated in those two reports  
13 with a focus on their effects on male  
14 reproduction, these chemicals do have other  
15 adverse effects associated with their toxicity  
16 profiles which may include the liver tumors.

17 Applying read across information  
18 from other PPAR agonists is relevant to KE4,  
19 clonal expansion as well. Furthermore, KE4 is  
20 obligatory in the pathogenesis of liver tumor  
21 genesis in rodents no matter the mode of action.  
22 The population model as described in Wolff et al

1 2019 illustrates how this occurs from the initial  
2 burst of mitogenesis to a new larger population of  
3 hepatocytes resulting in a greater population at  
4 risk of developing a neoplastic response.

5 With regard to the strengths and  
6 weaknesses related to the Agency's preliminary  
7 conclusion that DINP induces liver tumors via this  
8 mode of action, there's little doubt that this  
9 mode of action is the operative one based upon the  
10 DINP specific data and those were related in other  
11 chemicals as summarized in Corton et al 2018.

12 Did I freeze again?

13 **DR. GEORGE COBB:** No, you're fine.

14 **DR. PENELOPE FENNER-CRISP:** Okay, I  
15 got a message on the screen that suggested I was.  
16 This conclusion will only be strengthened further  
17 when the Agency more carefully reviews the Corton  
18 et al's 2018 study and performs a read across  
19 exercise.

20 As for the potential uncertainties  
21 articulated by EPA and this DINP cancer hazard  
22 assessment, more will be resolved after doing the

1       aforementioned work. EPA states on page 29 lines  
2       817 to 20 quote/unquote. Quote, overall, there is  
3       some evidences for dose response concordance for  
4       KE1, KE3, and the adverse outcome. However, no  
5       DINP-specific data are available for KE2 or 4 or  
6       apoptosis at the moment in the rat hepatocytes  
7       which prevents a complete analysis of the dose  
8       response concordance across all KEs in the  
9       postulated mode of action, unquote.

10               We believe this is an unsupportable  
11       statement in the first instance because it is not  
12       necessary to illustrate all key events in a well-  
13       established mode of action so long as the  
14       molecular initiating event -- in this case, the  
15       receptor activation -- in some of them are  
16       qualitatively and quantitatively addressed which  
17       they are in this case. Using data from similar  
18       compounds that have the same biological effects  
19       also supports this mode of action conclusion for  
20       the reasons presented in the paragraphs above.

21               Some additional work on the Agency's  
22       part as recommended will hopefully resolve this

1 concern to their satisfaction. On page 32, lines  
2 888 to 890, I think it is, the Agency states  
3 quote, in contrast other studies have demonstrated  
4 that PPAR $\alpha$  activation Key Event One in cellular  
5 proliferation, KE3, occur at lower doses in male  
6 mice compared with females -- cite is in here.  
7 This apparent inconsistency cannot be explained,  
8 close quote.

9 This discrepancy is identified by  
10 EPA as an area of uncertainty. Quite frankly, we  
11 believe this is not a very valid area of  
12 uncertainty in this context. It is, in fact,  
13 irrelevant. This does not impact the  
14 characterization of the mode of action. Any dose  
15 response characterization or determination of the  
16 appropriate descriptor for prediction of human  
17 cancer potential.

18 In the latter case, gender  
19 discrepancy would matter only if one sex  
20 experienced a significant increase in incidence of  
21 a specific tumor and the other one did not. The  
22 scientific rationale that the PPAR activation MOA

1 is a threshold phenomenon is supported by  
2 available science. Section two summarizes a  
3 series of 20 studies that evaluated genotoxicity  
4 potential in a variety of systems. All of the  
5 studies were carried out in in vitro systems  
6 except the in vivo micronucleus studies in mice.

7 The only positive result was  
8 observed in one of nine available in vitro  
9 transformation assays in (inaudible) L3TC31-31  
10 mouse cells in the absence of metabolic  
11 activation. I've got the citation for that. The  
12 Agency concluded that the weight of scientific  
13 evidence indicates that DINP is not likely to be  
14 genotoxic or mutagenic. The conclusion with which  
15 we agree.

16 This conclusion coupled with the  
17 observation that other nuclear receptor mediated  
18 modes of action like CAR, PXR, and et cetera, are  
19 generally observed to be threshold phenomena  
20 supports the conclusion that this mode of action  
21 is also. On page 32 the Agency states, quote, in

1 contrast, other studies have demonstrated that  
2 activation -- oh, I'm sorry, that's a repeat.

3 I guess that's it. About to repeat  
4 something that I've already said. So that's it  
5 for now.

6 **DR. GEORGE COBB:** All right, thank  
7 you for that comprehensive --

8 **DR. PENELOPE FENNER-CRISP:** Oh, no,  
9 I do have so more. Sorry, I do have some more if  
10 I may?

11 **DR. GEORGE COBB:** Okay. Please.

12 **DR. PENELOPE FENNER-CRISP:** As you  
13 might know I have a little trouble seeing, so I'm  
14 a little slow to pick up on stuff.

15 We have some recommendations.  
16 Section 4 would benefit from a more substantial  
17 discussion of species differences, structurally  
18 and functional in the PPAR $\alpha$  nuclear receptor  
19 itself. Activation of it in rodents may lead to  
20 liver tumors via the described mode of action.  
21 Activations in humans does not, based upon the  
22 available epidemiology studies, which explore the

1 relationship between exposure of these agonists  
2 and cancer outcomes. The reference I have here.

3 While the lag in receptor binding  
4 kinetics are well documented for some chemicals  
5 including phthalates, there is no robust  
6 discussion of work that shows species specific  
7 differences in potency and efficacy of activation  
8 of the receptor that mice respond differently.

9 If the mice express mouse PPAR $\alpha$   
10 versus human which shows that mice expressing  
11 human PPAR that background levels have had  
12 carcinogenesis are higher in the mice expressing  
13 the mouse PPAR $\alpha$ .

14 Additionally, a high affinity lag  
15 does not induce carcinogenesis in mice expressing  
16 human PPAR $\alpha$ . The results demonstrate species  
17 differences in that mouse PPAR $\alpha$  required in this  
18 carcinogenesis in response to the lag in exposure  
19 in the rodents. So, using human relevant rodent  
20 models continues to raise questions about how the  
21 evidence is used to conclude and justify a mode of  
22 action. I'm going to skip the rest of this.



1                   The second recommendation is that  
2                   Section 3.2 would benefit from the inclusion of  
3                   additional human epidemiology studies which  
4                   examine the relationship between exposure to any  
5                   PPAR $\alpha$  agonists and cancer. Refer back to the  
6                   (inaudible) Bonobos et al reference and I have  
7                   another one that I'll add to it. That's it. Now  
8                   I am finished.

9                   **DR. GEORGE COBB:** All right. Thank  
10                  you and that was an important question, and a lot  
11                  of associates there, so thank you for pulling that  
12                  together. Let's hear from the other discussants.  
13                  The first one is Dr. Eick.

14                  **DR. STEPHANIE EICK:** Thanks for that  
15                  great summary. I just had a few quick things to  
16                  add. I would also recommend that in Section 4,  
17                  EPA consider the key characteristics of cancer,  
18                  and I'll provide a reference for some of that  
19                  which really outlines -- I would think how some of  
20                  the epidemiologic evidence could be integrated in  
21                  some of this.

1           And I would also suggest that EPA  
2       review some of the well-designed epi studies that  
3       look at DINP alone and in combination with other  
4       phthalates in relation to biologic pathways such  
5       as oxidative stress and inflammation that are  
6       related to PPAR $\alpha$  that could also inform some of  
7       this dose response. And, again, just highlighting  
8       the cumulative effects which I don't need to get  
9       into because that's been mentioned. Thank you.

10           **DR. PENELOPE FENNER-CRISP:** I should  
11       mention that both oxidative stress and others were  
12       considered and are integrated into the MOE as  
13       modulating factors. So, they haven't been  
14       ignored.

15           **DR. GEORGE COBB:** Right. Thank you,  
16       Dr. Eick. Dr. Heiger-Bernays.

17           **DR. WENDY HEIGER-BERNAYS:** Thank  
18       you, Dr. Fenner-Crisp, for capturing everything.  
19       There's one -- I think the very end about the  
20       human relevant models should be part of the  
21       battery and I'm not sure how it was stated, but  
22       I'll make sure in the written summary that it's

1 important to use human relevant animal models for  
2 analysis.

3 **DR. PENELOPE FENNER-CRISP:** That was  
4 one of your comments that I took as-is. So, I  
5 would appreciate it if you would expand on it  
6 because when we talked about it yesterday and you  
7 weren't able to join us, we were a little bit  
8 confused about what you had meant.

9 **DR. WENDY HEIGER-BERNAYS:** Yeah,  
10 other questions at the same time (inaudible) a  
11 long time. But yes, so using human relevant  
12 models is absolutely critical. Thank you.

13 **DR. GEORGE COBB:** Dr. Przybyla.

14 **DR. JENNIFER PRZYBYLA:** No further  
15 comments from me, thank you.

16 **DR. GEORGE COBB:** Thank you. I saw  
17 Dr. Apte pop on the screen. Was there a comment?

18 **DR. UDAYAN APTE:** No. I was just  
19 following up the discussion between Wendy and Dr.  
20 Fenner-Crisp because we had some discussion back  
21 and forth about the (inaudible) so, yeah, it's  
22 been documented.

1                   **DR. GEORGE COBB:** All right. Thank  
2 you very much. All right, to the rest of the  
3 Committee, are there comments from others on the  
4 committee? Dr. David?

5                   **DR. RAYMOND DAVID:** Just a comment  
6 about in the presentations there seemed to be an  
7 emphasis on making sure that all of the key events  
8 for DINP were somehow supported by data and I  
9 think in -- that may be important in looking at  
10 downstream events. But quite honestly, I think  
11 that just demonstrating activation of the PPAR $\alpha$   
12 receptor may be sufficient to show that the --  
13 everything else should then fall in place and the  
14 Agency cites a study by Bility back in 2004 where  
15 they did look at binding to the PPAR $\alpha$  receptor  
16 from a mouse and from a human.

17                   And they show that DINP was pretty  
18 good at activating the receptor. Now the reason I  
19 point this out is because they looked at a variety  
20 of phthalates. One of them was butyl benzyl  
21 phthalate which has also been tested for

1 carcinogenesis and the NTP found that it was not  
2 carcinogenic in Fischer 344 and B6 mice.

3 So, the fact that you have a link  
4 between activation of the receptor, a powerful  
5 activation in the case of DEHP and DINP versus  
6 something like butyl benzyl phthalate, and tumors  
7 or the absence of tumors may be sufficient to make  
8 the link in terms of that mode of action.

9 **DR. PENELOPE FENNER-CRISP:** Well, in  
10 my summary and our discussion we did point out --  
11 and the Agency has pointed out -- they do have  
12 data to fill some of the gaps with respect to the  
13 key events -- two of them -- and are missing  
14 either all or inadequate support for the other  
15 two.

16 That's why we're suggesting to use  
17 other chemicals in the read across that might fill  
18 in, and if, in fact, as the commenter offered the  
19 other day, we're bringing you newer studies that  
20 will fill in the data gaps that can satisfy that  
21 and it was pointed out by Dr. Apte and again here  
22 that not -- yesterday when they talk about DIDP,

1 it's not necessary to have every key event filled  
2 in to get from the beginning to the end.

3 **DR. RAYMOND DAVID:** Right.

4 **DR. PENELOPE FENNER-CRISP:** If you  
5 have a well-characterized mode of action  
6 standardized, which I think is pretty firm in this  
7 case.

8 **DR. GEORGE COBB:** All right, thank  
9 you for that discussion. Other comments from the  
10 Committee? Right, if not, we can go to EPA for  
11 any clarifying comments. I don't know if that's  
12 Dr. Luz or others.

13 **DR. ANTHONY LUZ:** Anthony Luz here,  
14 EPA. I don't have any questions or clarifications  
15 at this time. Thank you.

16 **DR. GEORGE COBB:** Well, we can read  
17 in our final charge question. Charge Question 2E.

18  
19 **CHARGE QUESTION 2.e**

20  
21 **DR. ANTHONY LUZ:** Okay. Charge  
22 Question 2E for DINP. As described in Section 4.8

1 of the draft Cancer Human Health Hazards  
2 Assessment for DINP, EPA has preliminarily  
3 concluded that DINP is not likely to be  
4 carcinogenic to humans at the doses below levels  
5 that do not result in PPAR $\alpha$  activation, and that  
6 the non-cancer chronic POD based on liver toxicity  
7 will adequately account for all chronic toxicity  
8 including carcinogenicity. Please comment on the  
9 strengths and uncertainties of this preliminary  
10 conclusion. Thank you.

11 **DR. GEORGE COBB:** Thank you for  
12 getting that into the record for us and Dr.  
13 Fenner-Crisp is our lead discussant again.

14 **DR. PENELOPE FENNER-CRISP:** Okay.  
15 Our current response is that EPA's 2005 guidelines  
16 for cancer risk assessment include a discussion of  
17 the weight of evidence narrative that is to be  
18 included in all cancer hazard assessments. In the  
19 March 2024 draft Cancer Human Health Hazard  
20 Assessment for DINP the relevant text can be found  
21 in Section 4.8, Weight of Scientific Evidence,

1 Cancer Classification and in Section 4.9, Human  
2 Relevancy.

3 The weight of evidence narrative is  
4 to be a short summary of the detailed analysis  
5 conducted for the agent under evaluation. That  
6 explains the agent human carcinogenic potential  
7 and the conditions that characterize its  
8 expression.

9 The guidelines go on to say, quote,  
10 the weight of the evidence should be presented as  
11 a narrative laying out the complexity of  
12 information that is essential to understanding the  
13 hazard and its dependence on the quality,  
14 quantity, and types of information available as  
15 well as the circumstances of exposure or the  
16 traits of the exposed population that may be  
17 required for expression of cancer, unquote.

18 The weight of evidence narrative  
19 also is to include a section on a selection of a  
20 descriptor that sums up the agencies conclusions  
21 about the agent's human carcinogenic potential.  
22 In this DINP draft Human Cancer Assessment, EPA's



1 preliminary conclusion is that the descriptor  
2 should be, quote, not likely to be carcinogenic at  
3 doses below levels that do not result in PPAR $\alpha$   
4 activation, unquote.

5 The Section 4.8 Weight of Scientific  
6 Evidence -- that's a classification narrative --  
7 captures the relevant facts well. We agree with  
8 the portion of the Agency's preliminary  
9 determination that the descriptor should be,  
10 quote, not likely to be carcinogenic to humans,  
11 but disagree with the inclusion of the phrase at  
12 doses below levels that do not result in PPAR  
13 activation.

14 This infers the DINP is likely to be  
15 carcinogenic to humans at doses above the levels  
16 that do result in activation. This disagreement  
17 is based upon, in good measure, on the  
18 preponderance of the evidence that PPAR $\alpha$   
19 activation in humans does not trigger the  
20 obligatory key events that would lead to the liver  
21 tumors as developed in rodents.

1           In the EPA cancer guideline section  
2 that expands upon this discussion of the not  
3 likely descriptor, it states that the descriptor  
4 is appropriate for agents for which there are,  
5 quote, convincing and extensive experimental  
6 evidence showing that the carcinogenic effects  
7 observed in animals are not relevant to humans,  
8 unquote.

9           We believe that this applies to DINP  
10 because, one, the rat kidney tumors could be  
11 explained as occurring in accordance with the male  
12 rat specific  $\alpha$ 2u-globulin MOA and thus are  
13 irrelevant to humans. The Fischer rat MNCL tumors  
14 were determined to be inappropriate for predicting  
15 human cancer potential. See discussion under DIDP  
16 Charge Question 2B and in DINP Charge Question 2C.  
17 And three, the liver tumors seen in rodents are  
18 not likely to be or are not relevant to humans for  
19 the reasons described above.

20           Beginning with Klaunig et al in 2003  
21 which proposed a mode of action for PPAR  
22 activation in rodents resulting in liver tumors as

1 the case study using the mode of action human  
2 relevance framework for the first time followed by  
3 reviews, updates, and refinements by foreign  
4 assistants. (inaudible) Peters et al 2012 -- got  
5 (inaudible) the reference. Corton et al 2014,  
6 Felter et al 2018, and Corton et al 2018.

7 There is a body of convincing and  
8 extensive experimental and epidemiological  
9 evidence that the PPAR activation MOA for liver  
10 tumors in rodents is not operative in humans.  
11 Therefore, humans are not responsive to the  
12 carcinogenic effects of activation. Plus, the  
13 observation in rodent liver tumors occurring  
14 following PPAR $\alpha$  activation is not relevant when  
15 evaluating DINP's human carcinogenic potential.

16 The conclusion is further reinforced  
17 by the Bonavis (phonetic) et al 2012. I have the  
18 reference citation can be found in the DINP Charge  
19 Question 2D, which describes a meta-analysis of 17  
20 randomized control trials involving nearly 45,000  
21 participants treated with drugs in the chemical  
22 class of fibrates which are BP PPAR $\alpha$  activators

1 that lower cholesterol and triglycerides and can  
2 help protect from heart disease, heart attack, and  
3 stroke.

4 The follow up period averaged 5.2  
5 years, the authors observed that, quote, a  
6 quantitative synthesis of data revealed that the  
7 RCTs was not indicative of a fibrate effect on  
8 cancer incidence or cancer death. When the  
9 analysis was restricted to the major RCTs, the  
10 results did not substantially change. Similarly,  
11 we found no evidence of differential effects by  
12 length of follow up or type of fibrate, unquote.

13 The authors concluded that the  
14 fibrates have a neutral effect on cancer outcomes,  
15 which include those in the liver. Quote, in  
16 summary, fibrate drugs have been on the market  
17 since 1977 without an apparent increase in liver  
18 cancer in people taking them chronically, unquote.

19 In conclusion, we recommend that it  
20 would be more appropriate to say that DINP is not  
21 likely to be carcinogenic in humans given that  
22 there's no reason to conduct any dose response

1 assessments or any tumor related key  
2 events/endpoints as related to the rat kidney,  
3 MNCL, or liver. The POD/HED determined from the  
4 data representing the relevant non-cancer  
5 endpoints of concern is the one appropriate one to  
6 use going forward when calculating risk estimates  
7 and making unreasonable risk determinations.

8 As an aside, we would recommend that  
9 the Agency revive the risk assessment form and  
10 initiate a project to update the cancer  
11 guidelines. We're getting quite out of date on  
12 the post 2005 efforts to update the IPCS  
13 framework.

14 The abetting of the IPCS framework  
15 into the OECD adverse outcome pathway process and  
16 the efforts underway worldwide to make use of many  
17 in silico and in chemico predictive tools and  
18 models that are already available, are under  
19 development as our test systems that generate  
20 empirical data but do so more quickly, less  
21 expensively, and without wholesale dependency upon  
22 traditional whole animal models.

1           The pressure is on EPA to follow  
2           this path as it is consistent with a 2016 TSCA  
3           mandate on invertebrate animal testing. The  
4           Agency's new assessment method strategy in the  
5           widely hailed three R's philosophy of Replacement,  
6           Reduction, and Refinement. Thank you.

7                   **DR. GEORGE COBB:** Thank you for  
8           getting the assessment pulled together for the  
9           team. So now we can go to our other discussants  
10          and somehow, I've lost my list of charge  
11          questions. Here we are. Dr. Merced-Nieves.

12                   **DR. FRANCESKA MERCED-NIEVES:** So,  
13          maybe I missed it. I think a couple of  
14          respondents -- we had made another comment about  
15          cumulative assessments to be included here. But I  
16          just also want to expand upon that since we've  
17          been having the conversation all day. I think it  
18          is clear that the Agency has a tiered process to  
19          evaluate these chemicals and that it will be  
20          completed in the future.

21                   However, I think it's still accurate  
22          that the assessment of a single chemical at a time

1 is not representative of true-life exposures. As  
2 many have acknowledged, their co-exposures with  
3 other phthalates ensure mechanisms which does  
4 leave space for uncertainty and potential  
5 underestimation making it a limitation of the  
6 current analysis. I, and maybe others -- but I  
7 will speak for myself -- have brought this up  
8 since we believe it's an important limitation just  
9 to acknowledge, not because we think it needs to  
10 be dealt with today. That's all, thank you.

11 **DR. PENELOPE FENNER-CRISP:** I forgot  
12 to mention that I was going to mention the top  
13 again and defer the discussion -- and refer to the  
14 discussion we had a few minutes ago on 2A because  
15 I think it -- rather than redo it twice. I think  
16 the discussion was covered but I'll put a sentence  
17 in there that refers people back to that. The 2A.

18 **DR. GEORGE COBB:** Yeah, a lot of  
19 times there's crosstalk between these questions.

20 **DR. PENELOPE FENNER-CRISP:** Yeah,  
21 exactly.

1                   **DR. FRANCESKA MERCED-NIEVES:** Yeah.  
2 I agree. Thank you.

3                   **DR. GEORGE COBB:** Thank you for that  
4 comment. And then Dr. Eick.

5                   **DR. STEPHANIE EICK:** Thanks for that  
6 summary. I just had a few additional comments.  
7 So, I would say that one of the same is that Dr.  
8 Fenner-Crisp just made that we recommend that it  
9 would be more appropriate to say that DINP is not  
10 likely to be carcinogenic to humans and I would  
11 say that I don't think that that necessarily  
12 reflects the full opinion of the Committee.

13                   Because myself, and at least some  
14 others, did think that we didn't really have the  
15 full information to make that sort of general  
16 conclusion in regards to some of the things we've  
17 already talked about today that we don't need to  
18 get into. And I would also, again, just add here  
19 that I felt that there were some epi studies that  
20 were missing, and I'll provide some references for  
21 those in the final report. Thank you.

22                   **DR. GEORGE COBB:** Great.



1                   **DR. PENELOPE FENNER-CRISP:** I hope  
2 you would write out your comments you've just made  
3 and appreciate the addition of other epi studies.  
4 I did make the point that others should be  
5 considered but I didn't have any at hand to plug  
6 in at the moment. So, this would be good.

7                   **DR. GEORGE COBB:** Dr. Gentry.

8                   **DR. ROBINAN GENTRY:** Thank you, Dr.  
9 Fenner-Crisp. I don't have any comments to add.

10                  **DR. GEORGE COBB:** And Dr. Martinez.

11                  **DR. JEANELLE MARTINEZ:** Hi. I think  
12 she covered everything and thank you very much.  
13 I'm good.

14                  **DR. GEORGE COBB:** All right. Well,  
15 thank you and thank you to the whole team. Now,  
16 do we have comments from the remainder of the  
17 Committee? All right, seeing none do -- oh, Dr.  
18 Apte.

19                  **DR. UDAYAN APTE:** Yeah. So, I think  
20 I just want to echo one of the comments made here.  
21 I think there is room for read across and  
22 cumulative assessment because of the true-life

1 exposure scenarios. And data gaps in one versus  
2 data availability in others and so I think that  
3 would be a solid recommendation for the agency I  
4 would say.

5 **DR. PENELOPE FENNER-CRISP:** I would  
6 also suggest that not be confined just to dealing  
7 with DINP but across the board as the phthalate  
8 evaluations proceed where appropriate. We don't  
9 have access to them so we don't know where  
10 specifically these tools might apply but they  
11 could be useful across the board.

12 **DR. GEORGE COBB:** Okay. We have  
13 some latitude in DIDP to, again, tie these  
14 concepts back to those questions that did deal  
15 specifically with broader concepts of cumulative  
16 risks.

17 **DR. PENELOPE FENNER-CRISP:** Right.

18 **DR. GEORGE COBB:** Other comments  
19 from the Committee? Okay, so let's go back to EPA  
20 to see if there are clarifying comments -- or  
21 clarifying questions, I should say. Dr. Lowit?

1                   **DR. ANNA LOWIT:** Yeah, my mute  
2 wouldn't go off. Hi, everyone. Thanks for all  
3 that conversation. I do just want to -- if  
4 there's a dissenting view on the classification,  
5 make sure that that's represented but also give a  
6 sense of the extent of that dissent is the  
7 majority opinion the one as written -- as read by  
8 Dr. Fenner-Crisp and we have one or two or a small  
9 number of dissenters as opposed to the Committee  
10 as split. I think that's an important distinction  
11 to be made in the report if that's okay.

12                   **DR. FRANCESKA MERCED-NIEVES:** Yep,  
13 I'll make sure that's in there. Thank you.

14  
15                   **CLOSING REMARKS AND MEETING ADJOURN**

16  
17                   **DR. GEORGE COBB:** Great, thank you.  
18 Any other clarifying questions? If not we're at  
19 the end. I want to circle back one last time for  
20 any final comments that committee members have but  
21 we're nearing the end. Please, if you're on the  
22 committee, that means if I've been calling your

1 name for roll, these last few days, please do not  
2 leave the meeting until we have a couple of  
3 administrative items we need to take care of at  
4 the end about report writing.

5 So, let's go back to see if there  
6 are any final comments from the Committee related  
7 to topics that we've covered during this multi-day  
8 meeting. I'm not seeing any so perhaps we can  
9 begin to adjourn.

10 I do want to make the point that  
11 there were some email exchange that there would be  
12 clarification about some of the questions and  
13 responses in the conversation that Dr. Chaisson  
14 and David and Ottinger had. They'll be some  
15 references related to that and some clarifications  
16 of that conversation in the minutes.

17 So, with that, I'd like to thank  
18 everyone for participating, to the EPA for all the  
19 hard work you've done of preparing this  
20 assessment, preparing these questions. To  
21 everyone on the committee and all the public  
22 commenters, really want to express my gratitude.

1 And with that, I'll turn it back over to Dr. Kamel  
2 to close us out.

3 **DR. ALAA KAMEL:** Thank you, Dr.  
4 Cobb. So, in closing, I'd like to thank everyone  
5 who contributed to this meeting. I'd like to  
6 first thank the Peer Review and Ethics Branch in  
7 EPA for preparing for this meeting, including the  
8 branch chief, Steve Knott (phonetic), the  
9 executive secretary, Tamue Gibson, and the DFO's  
10 and assistant deputy ethics official, Dr. Sharlene  
11 Matten and William Wooge and also, the DFO Holly  
12 Munere (phonetic).

13 I would also like to thank Joyce  
14 Coates and Barbra Yule (phonetic) from the  
15 administrative staff and also thanks to the  
16 contractor EnDyna for their help in the Zoom  
17 meeting preparation and the YouTube webcasting.  
18 Thank you, Dr. Cobb, for leading the meeting and  
19 going through all the agenda items and for  
20 following FACA requirements. Thank you to the  
21 SACC committee members and the ad hoc reviewers  
22 for their input and participation in this meeting.

1                   Thanks, are also due to the OPPT  
2                   team for presenting the draft documents and  
3                   responding to the Committee questions. Thank you  
4                   to the public for listening or for presenting oral  
5                   comments. We ask the committee members to stay,  
6                   as Dr. Cobb indicated, in this meeting after  
7                   everyone else leaves and the meeting is now  
8                   adjourned. Thank you.

9                   **DR. GEORGE COBB:** Thanks everyone.

10  
11                   **[MEETING ADJOURNED]**